

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25
26
27
28
29
30
31
32
33
34
35
36
37
38

FOURTH NATIONAL GENE TRANSFER SAFETY SYMPOSIUM:
SAFETY CONSIDERATIONS IN THE USE OF AAV VECTORS
IN GENE TRANSFER CLINICAL TRIALS

March 7, 2001

PLAZA BALLROOM II
DoubleTree Hotel
1750 Rockville Pike
Rockville, Maryland 20852

EBERLIN REPORTING SERVICE
14208 Piccadilly Road
Silver Spring, Maryland 20906
(301) 460-8369

**This transcript has not been edited and the NIH makes no representation
regarding its accuracy**

I N D E X

1		
2		
3	Welcome and Introduction	1
4		
5	Session I: Adeno-Associated Virus (AAV):	
6	Overview of Biology	
7		
8	Overview of AAV Biology	8
9	Philip Johnson, M.D.,	
10	Ohio State University	
11		
12	Integration of AAV Into Host Genome	21
13	Mark Kay, M.D., Ph.D.,	
14	Stanford University School of	
15	Medicine	
16		
17	Session II: Preclinical Studies Using AAV	
18	Vectors	
19		
20	Long-Term Safety Data	50
21	Mark Kay, M.D., Ph.D.,	
22	Stanford University School of	
23	Medicine	
24		
25	Long-Term Safety Data	70
26	Terence Flotte, M.D.,	
27	University of Florida	
28		
29	Long-Term Data Using AAV-GUSB	97
30	Vector in MPS VII	
31	Mark Sands, Ph.D.,	
32	Washington University School of	
33	Medicine	
34		
35	Natural History of MPS VII Disease	134
36	in Mice	
37	William Sly, M.D.,	
38	St. Louis University School of	
39	Medicine	
40		
41		

1 I N D E X (Continued)

2
3 Session II: Preclinical Studies Using AAV
4 Vectors (Continued)

5
6 B-glucuronidase Binding to the 150
7 Man-6-P Receptor and Effects on
8 IGF II Binding
9 Randy Jirtle, Ph.D.,
10 Duke University Medical Center

11
12 Roundtable Discussion 174

13
14 Hepatic Neoplasia in Mice 200
15 Robert Maronpot, D.V.M., M.P.H.,
16 NIEHS

17
18 Roundtable Discussion (Continued) 218

19
20 Session III: Clinical Studies Using AAV
21 Vectors

22
23 Clinical Trials of AAV in Cystic 272
24 Fibrosis Patients: Initial
25 Studies
26 Pamela Zeitlin, M.D.,
27 Johns Hopkins University

28
29 Clinical Trials of AAV in 283
30 Cystic Fibrosis Patients:
31 Subsequent Studies
32 Phyllis Gardner, M.D.,
33 Stanford University School of
34 Medicine

35
36 Clinical Trials of AAV in 305
37 Hemophilia B
38 Bertil Glader, M.D., Ph.D.,
39 Stanford University
40 Roundtable Discussion 333

41
42
43

P R O C E E D I N G S
W E L C O M E A N D I N T R O D U C T I O N

DR. PATTERSON: I think we will get started right now.

(Slide.)

Welcome to the Fourth National Gene Transfer Safety Symposium. As I think all of you are aware, our topic for today is "Safety Considerations in the Use of Adeno-Associated Virus or AAV Vectors in Clinical Gene Transfer Research.

I would like to just take a few minutes out of what is a fairly packed schedule today to review with the context, rationale and agenda, as well as the goals of today's symposium.

(Slide.)

This is the fourth in a series of symposia. They are part of the overall DHHS initiative to ensure the protection of research participants. This effort was launched in March of last year and these symposia are intended to provide public forums for expert review of emerging scientific, medical and ethical issues in gene transfer research.

The symposium, however, apart from these over arching and lofty goals, have some very specific and practical goals. First, they are intended to enhance our understanding of both the safety and toxicity of this research. They are intended to help us identify critical gaps in what we think is our current knowledge of the field. They are intended to maximize the safety of people who volunteer to be research participants in these studies and they are intended to enhance the informed consent processes for these individuals.

Lastly, we hope that they optimize the development as well as the day-to-day conduct of these clinical trials.

(Slide.)

A good question for today, though, is why a safety symposium on AAV vectors and why now? Well, one impetus for today's symposium is what we see as an increasing interest in the use of AAV vectors. I will touch on each of these things briefly and they will be gone into in much greater depth by several of the speakers today. But, as many of you are aware, AAV vectors offer a number of useful features for gene transfer and recent improvements in production methods have only heightened interest in their use in a variety of clinical applications.

The second impetus for today's meeting, however, is the emergence of some recent concerns about possibly some tumorigenic potential in a particular animal model of a certain disease. And we will hear more about that later today.

(Slide.)

In terms of the useful features of AAV as a gene transfer vector, it is derived from what is considered to be a nonpathogenic virus that is designed not to express viral proteins and, therefore, is thought to be unlikely to induce a host immune response.

The vector is capable of effectively transducing a wide range of target cells, including nondividing target cells making it a useful vector in clinical applications that involve cells in a resting state.

1 And, finally, the vector can under certain circumstances achieve long-
2 term transgene expression.

3 (Slide.)

4 This is an electron micrograph showing AAV particles along with a
5 much larger adenovirus particle. As many of you are aware, some of the older
6 methods for production required coinfection with adenovirus in order to yield an AAV
7 prep and, therefore, required elimination of purification of the contaminating adeno
8 prior to final vector lot preparation.

9 (Slide.)

10 More recent methods, however, involve cotransfection of a plasmid
11 bearing the necessary Ad helper genes and thereby eliminating the need for Ad
12 infections and the subsequent difficulties of eliminating contaminating Ad from the
13 final vector prep.

14 (Slide.)

15 But despite these useful features and recent improvements in
16 production methodology, adenoviral -- AAV trials still account for only about two
17 percent of all gene transfer studies registered with the NIH.

18 (Slide.)

19 This small percentage notwithstanding, there has been a recent, albeit
20 modest, increase over the past couple of years. The first AAV trial was submitted for
21 RAC review in 1994 and over the past two years we have seen, well, what is really a
22 doubling every year, although the numbers admittedly are still quite low but there is a
23 rising interest.

24 (Slide.)

25 There has been a total of ten trials submitted for RAC review
26 and they are graphed out here according to clinical indication. You can see that the
27 majority of them or half of them, five of them are devoted to the study of cystic
28 fibrosis, two for hemophilia B, one for canavan's disease, one for ALS and one for
29 limb girdle muscular dystrophy.

30 These trials are in various stages of clinical development and two of
31 them, as denoted by the asterisks, have not actually been initiated at this time.

32 It is interesting to note that these are really all rare diseases and so
33 AAV has proved to be a useful vector to try to approach rare diseases where again
34 some of the target tissues are not actively dividing and, therefore, are not -- other
35 vector systems are not effective in gene delivery.

36 (Slide.)

37 The second impetus for today's meeting is the recent emergence of data
38 suggesting a possible association between AAV vector transfer and tumorigenesis.
39 This question has arisen in the context of a particular mouse model of a disease called
40 mucopolysaccharidosis VII or MPS VII. This is a lysosomal storage disease
41 characterized by the deficiency of a particular enzyme, B-glucuronidase, GUSB.

42 In these studies AAV vector encoding human GUSB was administered
43 to neonatal mice and the good news was that significant improvements in disease
44 symptoms were seen out over a year. However, some of the mice developed
45 hepatomas and angiosarcomas that were detected between eight and 18 months of age.
46 And in light of these findings the investigator notified the FDA and we will be hearing
47 from the investigator late this morning.

48 (Slide.)

49 So today's agenda topics include a review of the biology of AAV and

1 then a review discussion of the preclinical studies focusing on long-term data from
 2 animal studies using AAV vectors, the how, when, why of tumor development in
 3 mice, and a review of the natural history of B-glucuronidase deficiency in mice or the
 4 MPS VII mouse model. This afternoon we will focus on the data obtained from
 5 several clinical studies using AAV vectors.

6 (Slide.)

7 In terms of the format for today's agenda the focal point for all our
 8 discussions are going to be a set of symposium questions which should be in your
 9 meeting materials, and I urge you to get them out early and refer to them frequently.
 10 They were developed by NIH and FDA and in close collaboration, and they will guide
 11 the speaker presentations as well as the subsequent roundtable discussions.

12 To help guide the discussion of these issues and other salient points we
 13 have assembled a panel of experts who braved the weather conditions. We are
 14 unfortunately missing three people who may show up later today but they are coming
 15 from the hinterlands of the Northeast United States. But for those of you who made it,
 16 thank you very much.

17 Our co-chairs for the morning session will be Phil Johnson and Xandra
 18 Breakefield and in the afternoon session will be Phil Johnson and RAC Chair Claudia
 19 Mickelson.

20 (Slide.)

21 So our goals are not simply to exchange information strategies and
 22 perspectives but to address those particular questions and other salient issues and to
 23 develop a set of general principles and practical recommendations to guide the field.

24 (Slide.)

25 And many of you will recognize the next slide but I am always
 26 compelled to show to remind us of our over arching and common goals to ensure that
 27 the knowledge gained in each trial informs the current and future research and that we
 28 have a system in place that ensures that the safety of every single patient counts.

29 With that, I will close.

30 SESSION I: ADENO-ASSOCIATED VIRUS (AAV):

31 OVERVIEW OF BIOLOGY

32 OVERVIEW OF AAV BIOLOGY

33 PHILIP JOHNSON, M.D.

34 OHIO STATE UNIVERSITY

35 DR. JOHNSON: We might need Terry to come up and run his
 36 computer for us.

37 Well, Amy just gave my talk so I can basically sit back down.

38 (Slide.)

39 The materials that have been distributed to you, I believe, are going to
 40 be very useful and I think we do want to try and stick to the questions that Amy and
 41 her advisors have put forward. I would admit to you that most of us are going to see
 42 the data for the first time today and I think it is important that we take time to digest
 43 that data and then discuss it as is intended in the roundtable discussion.

44 The purpose for me is to give an overview of AAV biology and that is
 45 somewhat of a joke. This is the only data slide that I am going to show you.

46 (Slide.)

47 On the Y axis is relative knowledge of AAV biology and on the X axis
 48 is P and O. P stands for me and O stands for other people that are sitting at this table
 49 like Nick and Jude. And the P value there is highly significant in relationship to

1 what their knowledge is versus what my knowledge is so it is with some trepidation
2 that I tackle this particular topic. However, there are some things, I think, that we can
3 all agree on.

4 (Slide.)

5 This is the difficulty with using a MacIntosh in an IBM world. My
6 middle panel did not load there, which is basically a human figure, so all the arrows
7 point to the right organ but this is really why we are here today. AAV now is being
8 proposed for a number of clinical applications that are very important. Retinal
9 disorders, arteriosclerosis, something I am very interested in as I age, hemophilia,
10 which we will hear a lot about, other genetic defects, certainly MP VII and canavan's
11 disease, arthritis. In the liver we are looking at delivering genes for again hemophilia
12 in muscle. We are doing hemophilia and even vaccines. Cystic fibrosis obviously led
13 the way for this particular vector and continues to lead the way in terms of clinical
14 trials today. We have lots of data available there. And for the central nervous system
15 and other neuromuscular disorders. Clearly this vector has tremendous potential.

16 (Slide.)

17 This is also a picture that many of us have shown over the years and
18 this is again what I think started in many ways the surprise that Jude and others
19 showed very early on that when you put a vector in muscle that you can get expression
20 basically for the life of that mouse and so this has really driven a lot of why we are
21 here today and why the vector has attracted so much attention.

22 (Slide.)

23 So the salient points that I want to cover in my very brief presentation
24 because I want to get us back on time here, I want to say a few words about infection
25 with wild type AAV, what we do and mostly do not know about that. I want to set the
26 stage for Mark Kay and Terry Flotte because they are going to talk a little bit about
27 integration and safety. And then I want to in a single slide try to compare for you wild
28 type AAV versus vector. Are they the same? Are they different? And then finally
29 say a word again in preparation for some of what I know Mark Kay is going to
30 present, how do AAV vectors really work.

31 (Slide.)

32 Well, here is what I know about wild type AAV infection. That we
33 have never been able to associate any disease with wild type infection and, in fact,
34 serioepidemiologists have said that AAV, if you have been infected and have
35 antibodies to certain serotypes, you might be protected from cancer, a particular type
36 of cancer, cervical cancer. We have no idea why that is true. We could all
37 hypothesize why it might be but, in fact, the data are there.

38 We also know that there is an age-related acquisition of antibodies and
39 this makes perfect sense if you understand the natural history of this particular virus.
40 It goes in nature with its helper virus, either adenovirus or herpes simplex.

41 It has been reported that there is a high seroprevalence to this particular
42 virus and that makes sense again given the higher seroprevalence of helper viruses.
43 However, the question really is are these antibodies neutralizing? Will they stop or
44 prevent reinfection? We have done work in this area showing that relatively low
45 numbers of people are carrying antibodies that neutralize 90 percent of a virus input.

46 There are multiple serotypes that infect primates, both human and
47 nonhuman. One important distinction is that serotype 5 appears to be a sexually
48 transmitted disease going along in nature with herpes simplex virus.

49 Clearly there are other adeno-associated viruses that infect other

1 species, and these have been relatively under served in terms of research.

2 So you put all this together and one could raise the question, and it
3 certainly was raised many years ago, is a model vector for gene transfer.

4 (Slide.)

5 On the other hand there are a lot of questions we do not know the
6 answer to. Does AAV persist in humans? Well, there certainly are data to suggest
7 that it does but they are scant. They are not well documented and I think that a lot of
8 work needs to be done. And if it does persist, at what sites? We know that the portal
9 of entry is the respiratory tract for many serotypes but African green monkey kidney
10 cells, primary African green monkey kidney cells can be induced to produce AAV. So
11 it is in the kidney in monkeys. How does that happen?

12 And when it does persist, what is the copy number? We have really no
13 idea what the copy number is of natural AAV persistence. Furthermore, we have no
14 idea what the genomic form of the virus is in our bodies sitting here in this room today
15 and what the fate of those forms is over time. We really do not understand any of
16 these questions. Does replication occur? Does rescue occur in the natural
17 setting?

18 And finally are there any consequences? Certainly the long
19 epidemiologic history would suggest that there are none, that we have not been able to
20 associate disease and certainly not been able to associate cancer with the wild type
21 virus.

22 And really I think in the late '60s and early '70s nobody really cared
23 about this virus anymore. It sort of disappeared from most people's agendas except
24 those small group of people that have been interested in this over the years as a model
25 for molecular biology and DNA replication.

26 (Slide.)

27 One of the things that was denoted early on by Dave Hogan and others
28 was that this virus actually persists in cultured cells and notice I am using cultured
29 cells again as the model because that is really where all the data come from. And then
30 in the early '90s Cotton, Jude and others identified that this virus actually integrates in
31 a site specific location on human chromosome 19. And the locus was designated S1.
32 It is on the long arm of chromosome 19.

33 Several things are pertinent, I think. For this integration event to occur,
34 rep is required, so therefore wild type virus has rep. There is a requirement for the
35 terminal repeats of this virus, a complex, compact structure. There is obviously a
36 requirement for the S1 locus.

37 But the questions that remain open are what is the mechanism? How
38 does this actually occur? I think there are some very nice models put forth by Lyndon
39 Burns, his group and others that can speak to this but I am not sure that it has really
40 been well documented.

41 What is the frequency of integration? This is another common
42 misconception, I believe, that the virus always goes to chromosome 19. In fact, it does
43 not. It goes other places. Roughly 75 percent of the events in cell culture will end up
44 at chromosome 19 but there are 25 percent of integration events at other places so we
45 know that it does not always go to chromosome 19. It is site preferred and site
46 specific but not certainly exclusively chromosome 19.

47 (Slide.)

48 So that is -- everything I have just said really has a lot to do with wild
49 type. Now let's compare it with recombinant vectors that have become popular.

1 (Slide.)

2 This is a diagram just to let you know what the difference are in the
3 genetic structure. Basically wild type virus is composed of the terminal repeats in the
4 two genes that were often with rep and cap. On the other hand, the vector does not
5 have rep. It does not have cap. It only has the terminal repeats and hence the
6 recombinant vector really only contains about 300 nucleotides of AAV and no genes.
7 No gene products.

8 (Slide.)

9 So if we ask a series of very simple questions to compare we come to
10 the conclusion that vector and wild type virus are actually very different. If we look at
11 the portal of entry for the wild type virus, we believe that it is respiratory tract and the
12 genital tract. It makes sense again following in nature the helper viruses. However,
13 vector, there are various routes of entry depending on your particular purpose. Is it
14 intravenous? Is it aerosolized into the lung? Is it injected intrahepatically? So there
15 are a variety of portals of entry that are not found in nature when we use the vector.

16 What is the dose? Well, obviously with the vector it is going to depend
17 on the application but it is likely to be high relative to the inoculum that one would
18 receive in nature. However, remember that the wild type virus can replicate in the
19 presence of the helper virus and, therefore, the titers might be fairly considerable as
20 the virus is replicating, however, that would diminish rapidly as the helper virus is
21 eliminated using immune mechanisms of the body.

22 I have already spoken about persistence of the wild type virus. We
23 really do not understand that. We know that a vector does persist. Multiple labs,
24 multiple publications now show that this vector can persist for long, long periods of
25 time.

26 We know that the wild type virus has rep but do we know that the
27 vector does not have rep? Do all vector preparations lack rep? Well, the answer is
28 probably no. Probably some of these vector preparations do include rep and have rep
29 containing -- rep gene containing particles within them. What is the effect of that and
30 how much does it influence integration, nonintegration and persistence?

31 Finally, we have to worry about transgene effect and obviously with
32 wild type there is no transgene so there can be no transgene effect but with the vector
33 each virus might well be different because of the transgene. So this slide really does
34 point out that the vector is different from wild type and I think that is important to
35 keep in our minds as we move forward today.

36 (Slide.)

37 Is it fair to extrapolate from wild type what we know about wild type?
38 Should we learn more about wild type? And I think the answer to that is sort of,
39 maybe and it depends because it really is going to depend on what application you are
40 looking for, whether you contain rep or not, and what we think we can learn from the
41 biology of the wild type virus itself.

42 (Slide.)

43 In the last few slides I want to make a few comments about how
44 vectors might or might not work.

45 (Slide.)

46 This is the way that I view recombinant AAV vectors today. I really
47 think they are single strand DNA delivery vehicles that are unique. It is basically a
48 protein, vp3 wrapped around a single stranded DNA. This virus attaches to the cell
49 via a cellular receptor, whatever that happens to be for AAV serotype 2. Certainly at

1 least part of the interaction occurs through heparin molecules.

2 The virus attaches to the cell surface and then penetrates to the nucleus
3 where it uncoats and dumps a single stranded DNA and there -- that is when the fun
4 begins because we really do not understand very much about how the single stranded
5 DNA becomes double stranded and then what forms in the cell are responsible for
6 both transduction, that is the expression of a transgene protein. We also do not
7 understand how it persists or why it persists and I think we will get at some of that
8 with the presentations this morning.

9 (Slide.)

10 Clearly a number of people have done these types of experiments to
11 show that the vector genomes persist. They begin on the left as single stranded low
12 molecular weight molecules and they migrate over time to high molecular weight
13 molecules that are most certainly concatameric.

14 (Slide.)

15 The real question is are these integrated high molecular weight
16 concatamers or are they episomal high molecular weight concatamers? And I think a
17 number of laboratories are investigating this rigorously now and certainly in our hands
18 in muscle the majority of the genomes that are persisting long-term are episomal and
19 not integrated. I will not show data today. I think Mark is going to address a lot of
20 that.

21 (Slide.)

22 So, in summary, wild type AAV, no disease or tumors that we are
23 aware of in the world's literature. The vector -- we also to my knowledge have not
24 seen any disease or tumors up until the recent report from Sands' laboratory. It is
25 important to remember that vector and wild type are different, however, and that we
26 have to keep that in mind. Integration of the vector, I think, is a very open issue and is
27 an evolving topic.

28 But the most important thing that I think we should have happen today
29 is that the data should drive our decisions. We should really look hard at the data. If
30 we do not have the data, we should generate it and then we should make decisions
31 really based on the data that we have in front of us.

32 So, with that, I want to turn to Mark and have him begin his
33 presentation on integration.

34 INTEGRATION OF AAV INTO HOST GENOME

35 MARK KAY, M.D., Ph.D.

36 STANFORD UNIVERSITY SCHOOL OF MEDICINE

37 DR. KAY: I want to thank you for inviting me to share our data and to
38 discuss with you what we believe are some of the important points in AAV
39 transduction in vivo and specifically address some issues about integration of AAV
40 genomes in vivo.

41 (Slide.)

42 Can you focus that and maybe turn the lights down?

43 This actually represents an old slide of a collaborative study we did
44 with Richard Snyder and colleagues from his group and my group back in 1996. It
45 shows what happens when you take an AAV vector and inject it into the portal vein
46 and look at gene expression in mice. And each of these lines represents individual
47 mice that got a dose of an AAV Human Factor XI construct.

48 There are two things that I want to point out here. The first is that gene
49 expression is therapeutic. This level of Factor IX would be curative. And that it

1 persists long-term for the life of the animal. Secondly, when these studies were done,
2 for all intents and purposes from the acute toxicity point of view, it appeared to be an
3 extremely safe vector.

4 What really intrigued us, and unlike what we have seen with other
5 vector systems, was the slow rise in gene expression that occurs over about three to six
6 weeks. So over this period of three to six weeks what we find is that we have a slow
7 rise before hitting this steady state level of gene expression and since that period of
8 time we have been interested in studying what the molecular events are once the
9 vector genome gets into cells to reach this state.

10 (Slide.)

11 Now what I decided to do was to try to summarize certain points that I
12 think most people will accept and then briefly summarize some published data and
13 then spend a little more time talking about data that is not published. The rise in AAV
14 mediated transgene expression occurs over this three to six week period before
15 reaching the steady state. Now during this time there is a concordant disappearance of
16 the single stranded AAV genomes and the appearance of double stranded genomes
17 again over the same period.

18 The molecular structure of the double stranded genomes includes
19 monomer circles and linear forms, small and large concatamers, and if you isolate the
20 large concatamers away from the monomers, we have found that the concatamers are
21 pretty equal in head to tail, tail to tail and head to head structures. I will come back to
22 this later.

23 (Slide.)

24 Now the other thing that has been somewhat of interest is that if you
25 inject the routine doses that most labs inject into the portal vein and you look one day
26 after gene transfer, if you do DNA in situ hybridization, almost every single
27 hepatocyte nuclei contains AAV genomes. Now if you take these cells from the liver
28 one day after injection and culture them and then add adenovirus to basically allow the
29 single stranded genomes to become double stranded genomes quickly, we find that
30 again almost all of the hepatocytes expressed from the AAV genome. Not only do we
31 believe that we are getting uptake into the nuclei in almost all hepatocytes but the
32 genomes are potentially biologically active.

33 What is somewhat of a puzzle is even within a reasonable dose range
34 that no matter what you do you can only get a stable transduction in about five percent
35 of the hepatocytes. So even though all the cells take it up, you get it in the nucleus,
36 only five percent become stably transduced. This has been determined by looking at
37 transgene protein detection. That is if you use intracellular markers or markers where
38 you can use immunohistochemistry, RNA in situ hybridization looking for message
39 RNA production, and finally doing DNA FISH analysis. The correlation between this
40 is really nice.

41 Now when I say around five percent, that can vary between two and
42 eight percent but it is approximately five percent.

43 Now this brings an important issue up. Why is it only five percent? Is
44 there a changing subpopulation of hepatocytes permissive to transduction? This is
45 somewhat controversial. This is our hypothesis at the time. We have some data to
46 support this, although it is clearly in my mind not definitive.

47 What we do know and as Phil pointed out, transduction is not
48 associated with cell cycling. There are several lines of evidence to support this. You
49 can do partial hepatectomies and inject AAV during rapid periods of liver

1 regeneration. There is no increase in transduction and more importantly if you do
2 BRDU labeling and look for cotransduced cells with BRDU there is no association.
3 This is very different than what we see with retroviral vectors. So again cell cycling
4 does not appear to be an important process at least in liver in vivo for transduction.

5 (Slide.)

6 Now the question comes in, is how do these single stranded genomes
7 uncoat and then become double stranded genomes? This is a question that Phil raised
8 and this is something we have been interested in. Now again some of this data is
9 published. There are two reasonable possibilities. One is that you get second strand
10 synthesis and this has been, I think, really well worked out in many of the cases for the
11 wild type virus but in the vector it is not always so clear whether this can occur versus
12 annealing of the plus and minus strands to form a double strand.

13 (Slide.)

14 As I am going to show you three lines of evidence, we highly favor this
15 process.

16 The first set of experiments that we did to address this, and a lot of this
17 work, I should point out, was done by a post-doc in the lab, Hiroyuki Nikei, was to
18 make AAV genomes that were actually methylated adenine residues at a specific
19 sequence.

20 Now adenine residues that are methylated -- in mammalian cells there
21 is really no way to methylate adenine residues or to demethylate adenine residues.
22 This is something that is fairly unique to prokaryotic cells. So the idea was that we
23 would methylate adenine residues and that we would follow the methylation patterns
24 in the mice as the DNA became double stranded and as you got stable gene
25 expression.

26 So we would inject the mice with this virus and the virus expressed just
27 as well as the nonmethylated counterparts, and then we used a number of different
28 restriction enzymes to ask the question are the AAV genomes methylated. What we
29 found by and large is that the majority of the genomes remain double strand
30 methylated.

31 If second strand synthesis were occurring, we would either see
32 hemimethylated or unmethylated genomes and we do not see evidence for that.

33 (Slide.)

34 A second line of evidence, although this is less conclusive, was to
35 make vectors that are basically identical in sequence except for eight base pairs that
36 either knockout a Bam HI or an Eco R1 site.

37 What you can do then is you can mix these two together and then look
38 in vivo at what kind of structures you find.

39 (Slide.)

40 If there were -- if a second strand synthesis and then rolling circle or
41 some sort of nonrandom linkage occurred, you would expect to see these
42 homoconcatamers when you cut with either a Bam HI or Eco R1, and this is not what
43 you see. What you see basically is a ladder when you cut with either Bam HI or Eco
44 R1, which suggests random linkage.

45 What is more important from the standpoint of annealing is that if you
46 look at these genomes there is evidence for mismatches and, secondly, there is
47 evidence for mismatch repair. Again suggestive of annealing and again this data has
48 recently been published so I do not want to go into all the primary data.

49 (Slide.)

1 Now the third piece of evidence which is new in our lab comes from
2 the fact that even though we have not been able to demonstrate second strand
3 synthesis does not mean that it could not occur under some conditions. So what we
4 decided to do is to try to do an experiment where we could isolate the minus strand
5 genomes from the plus strand genomes. Now this has been extremely difficult to do in
6 the virus because there is not really a good way to do this in bulk to get a pure
7 population of plus and minus strands, full-length genomes.

8 So what we hypothesized is that if second strand synthesis did occur --
9 I am sorry, if you injected just a minus or a plus strand and second strand synthesis
10 was allowed to occur, you could get double strand synthesis. If annealing was the
11 mechanism then if you injected one strand versus the other you would get no -- you
12 would get no double strand formation. But if you injected both together or
13 separately you would.

14 (Slide.)

15 And to do this experiment, Xing Chin in the lab developed some DNA
16 plasmid vectors that are depicted here. They have the AAV ITRs and they have a
17 Factor IX expression cassette. What we can do with this plasmid is we can take it
18 through single stranded bacteria phage and we designed these just so that under
19 conditions -- certain conditions what happens is you get single strand molecules out
20 that contain your expression cassette but also have this bacterial backbone in some of
21 the phage sequences.

22 We really do not want this in the experiment so under the right
23 conditions you will get annealing of these two and what you can do is cut with either
24 an enzyme that releases the expression cassette with or without the AAV ITRs. If you
25 release it with the AAV ITRs you are basically recapitulating an AAV genome and by
26 reversing the order of the F1 in the bacteria phage you can make a pure population of
27 plus strands and a pure population of minus strands.

28 (Slide.)

29 Then what you can do is you can inject these into mice. Now what
30 happened was if you take plus or minus strand alone and you injected the tail of a
31 mouse under conditions that is known as hydrodynamic transfection procedures, you
32 can get DNA in at least 40 percent of the mouse and this was developed by Dexy Lu
33 and Jon Woolf's group.

34 Now if we take single stranded DNA, either the plus or the minus
35 strand alone, we see absolutely no gene expression over time. If we take a double
36 stranded circular plasmid, you see very robust expression early on within one day but
37 then that falls off because that is what we see with double stranded circular plasmids.

38 But if we take either the plus strand and the minus strand and mix them
39 together on ice just before injection or if we take the DNA and inject it separately into
40 two different tail veins, that is one tail vein that is plus strand and one tail vein that is
41 minus strand, you see this slow rise in gene expression that stabilizes just after three
42 weeks. This is very similar to the kinetics that we observe with AAV.

43 What is even more interesting, at least to me, is that if you take away
44 the AAV ITRs you see the same expression pattern. So again this is not consistent
45 with second strand synthesis as the mechanism and that annealing is probably playing
46 an important role in vivo towards the stable transduction state.

47 (Slide.)

48 And just to show you that what we have done is we have analyzed
49 molecularly these single stranded genomes that get both strands and we find equal

1 mixtures of head to tail, tail to tail and head to head concatamers similar to what we
2 have seen with AAV.

3 (Slide.)

4 So what we think with the mechanism of AAV transduction is that
5 there is annealing of complimentary single stranded DNAs and this results in double
6 strand DNA formation. There is random linkage that results in concatamer formation.

7 The evidence for this, again to summarize, is the persistence of the
8 double stranded adenine methylated vector genomes, the presence of double stranded
9 heteroduplex genomes when the two vectors with small nucleotide polymorphisms are
10 coadministered, demonstration of mismatch repair, absence of transduction using plus
11 or minus genomes alone, and the equal abundance of head to head, head to tail and tail
12 to tail concatamers when you isolate the low molecular weight away from the high
13 molecular weight concatamers.

14 (Slide.)

15 Now what about integration? What is the published literature that
16 would support integration in vivo? Really I would say that most of the data out there
17 would support that there is some level of integration in the liver and although there is
18 some indications in other tissues such as muscle, I would say in my opinion there has
19 not been at least published definitive data to support that.

20 (Slide.)

21 Carol Miao in our lab did pulse gel electrophoresis and showed that the
22 AAV genome co-migrated in the multimegabase range right where the mouse
23 chromosomal DNA migrated. She did DNA FISH signals and showed sister
24 chromatid metaphase signals, again indicative of integration. And probably most
25 convincingly here, Hiroyuki Nikei actually made a vector with bacterial origins of
26 replication and then was able to inject these AAV vectors and then clone out DNA
27 plasmids after cutting the genomic DNA and then passing these through bacteria. And
28 then what you do is you basically trap AAV juncture integration sites. So you are
29 actually covalently linking the AAV to the genomic sequences where integration
30 occurred.

31 Eighteen molecularly characterized in detail and four of these
32 integrations were found in known sequences. One was in the intron of mouse alpha 1
33 collagen gene in the 28 sRNA transcribed region. Another protein with some pluride
34 channel and some other protein with some homology to this enzyme.

35 More recently, Jim Wilson's group has used a selection procedure,
36 which I am going to talk about a little bit more in a minute. But basically the idea is
37 that you use genetic models where only hepatocytes that are genetically corrected with
38 an integration event supplying back a missing gene product will survive. And they
39 have a huge selective advantage and they can repopulate the organ. Again, this is also
40 evidence for integration.

41 (Slide.)

42 So what do we think is going on at this point? We have the two
43 genomes coming in. We believe annealing is a process. It is our hypothesis, and not
44 proven at this time, but we hypothesis that annealing may be one of the rate limiting
45 steps. There may be others.

46 Why it takes a period of time to get stable gene expression? You get
47 these circular monomers, which are pretty predominant in the liver, and I think also in
48 muscle. I think Terry is going to mention this. You can get concatamerization in the
49 dimers and then larger concatamers. There are probably some episomal linear

1 concatamers and then we know that there are some integrated genomes and whether
2 they are concatamers or single is something I will address in a second.

3 The point is all of these studies to date do not really address the exact
4 pathways of this and do not demonstrate what proportion of the DNA is integrated
5 versus episomal.

6 (Slide.)

7 So what I would like to do now is try to tell you some of the ways that
8 we have tried to quantitate this and determine the amount of integrated DNA.

9 What we have done is we are using a technique known as partial
10 hepatectomy. If you take out two-thirds of the mouse or the rat liver, almost all of the
11 cells will divide once or twice to restore the original hepatocyte number.

12 So what we do is we transduce mouse liver with AAV and we also can
13 use controls with integrating and nonintegrating vectors. And at different time points
14 we do a partial hepatectomy and then ask the question how much gene expression is
15 left. We can measure the transgene product and the vector genomes before and after
16 partial hepatectomy. The idea being with cell division you will lose episomal forms
17 but you will retain the integrated forms.

18 This is the type of study that we have done.

19 (Slide.)

20 This is a proof of principle that this type of approach will work. In this
21 experiment what we have done is we have used a known integrating vector that
22 happens to be a transposon and this is a control for that in which the DNA -- the same
23 DNA is injected without the transposon. And then what you do is you wait out almost
24 a year. You do a partial hepatectomy. In an integrated situation, gene expressions
25 will store to the pre-partial hepatectomy level. And when you do the partial
26 hepatectomy with a nonintegrating vector, you get a log loss or so in gene expression.

27 (Slide.)

28 So this is the type of experiment that we did with AAV. So
29 these mice were given AAV at time zero. We waited about, in this experiment, 12
30 weeks and then half of the mice had a partial hepatectomy and half of the mice did
31 not. And you see here there is almost a tenfold drop in gene expression with partial
32 hepatectomy. This suggests that a lot of the expressing genomes from AAV in the
33 liver are not integrated in episomal.

34 (Slide.)

35 What about the DNA quantity? This shows you the raw data. This is a
36 concentration curve of copies per cell. This shows you in individual mice
37 prehepatectomy the AAV band. This is double stranded DNA. And post-hepatectomy
38 and other -- what you see is you see a huge drop off. These are the animals that did
39 not get hepatectomy.

40 So what you can see here is there is a big difference. We have
41 quantitated this and what you can see is prehepatectomy. In this experiment there is
42 about one copy per cell. Post-hepatectomy it falls dramatically. And with no
43 hepatectomy again it is indistinguishable from prehepatectomy.

44 So there is about a tenfold drop in gene expression and a tenfold or so
45 drop in DNA genomes. In fact, we have quantitated this even further.

46 (Slide.)

47 In most --

48 (Slide.)

1 Let me just show you that if you wait one year after giving AAV and
2 then you do a partial hepatectomy, these are no hepatectomy controls, these are partial
3 hepatectomy, you see a similar drop in gene expression. What this suggests is that
4 over time there is not a predominance. That is there does not appear to be a big
5 change in the proportion of episomes versus integration so if integration events do
6 occur over time there is not a substantial amount of that occurring.

7 (Slide.)

8 Let me say that what this means at this point is that the amount of gene
9 expression and the amount of genomes that are present in the animals correlate so that
10 means that the loss of genomes, that is gene expression appears to parallel the amount
11 of episomal versus integrated copies.

12 Now with that said, I just want to say in a more recent experiment we
13 have done -- we had one anomalous animal that had over 50 percent integrated
14 genomes and we do not understand why that animal is different than the other ones. In
15 these experiments there is a total of about 12 or 15 animals that have been given
16 partial hepatectomy.

17 (Slide.)

18 Now the thing about this is this tells you the proportion of genomes
19 that are integrated versus not integrated but it does not tell you the proportion of
20 transduced cells that actually have integrated copies and I think this is important.

21 This is depicting an experiment. Some of which -- this experiment was
22 published by Jim Wilson's group but I am going to show you we are taking a slightly
23 different approach to try to get more quantitative data.

24 What we are using here is we are using a mouse system that is called
25 tyrosinemia Type 1. These mice have a defect in an enzyme called FAH. These
26 hepatocytes have a cell autonomous lethal effect and it is due to the build up of
27 tyrosine metabolites, and it affects only cells that have the mutation. If you give these
28 animals no therapy they have chronic hepatocyte regeneration and turnover and the
29 animals eventually die of liver failure. There is a human disease that is very similar to
30 this in phenotype but it is rare.

31 Now what Marcus Grompy's group has shown is that you can
32 transplate genetically normal hepatocytes back into these animals and because these
33 cells have such a selective advantage you can inject less than 1,000 cells and
34 repopulate the liver of all these animals. And you can actually isolate these animals
35 before repopulation is finished and get nodules out and then look at these molecularly.

36 Now Jim Wilson injected high doses of AAV into these type of mice,
37 repopulated and looked at nodules and he analyzed -- now what you do is you lose all
38 the episomal forms but you maintain integrated forms with multiple cell divisions, and
39 what he did was he showed that the copy number was about one to two. So the
40 integrated AAV appears to be either single or double on average.

41 Now there is also a drug called NTBC that basically short circuits the
42 metabolic defect and these mice can be relatively normal. This is important because
43 this is the type of experiment that we have started to do to try to really quantitate the
44 number of cells that have integrated genomes.

45 You take the mouse -- you keep them on NTBC so their livers are
46 normal and not regenerating. You infuse them with this dose of an AAV vector that
47 has this enzyme, wait about six weeks, and then you isolate the hepatocytes. So these
48 are animals that are transduced. There is no selection for integrated copies because
49 they remain on this drug therapy. And then you transplant a million of these cells into

1 the naive FAH deficient mice that are off NTBC.

2 Now episomal and non-AAV hepatocytes are going to be lost. Okay.
3 But what you will -- is you will select for integrated AAV genomes containing
4 hepatocytes and you will get clonal repopulation. Now we are injecting a million cells
5 and we know based on historical data that about five percent or about 5×10^4 should be
6 genetically modified with AAV. If 1,000 cells have integrated then the animal should
7 survive based on what I have already told you from published literature.

8 So if we assume that 1,000 is the minimum number, and it actually may
9 be lower than this, and we are saying that we are injecting 50,000 total transduced
10 cells, we could argue that less than a few percent of the actual cells that were initially
11 transduced contained integrated genomes.

12 Now this exact number could probably fluctuate because additional
13 studies need to be done and better controls. This is very preliminary. What it says is
14 that the number of cells -- the amount of episomal versus integrated DNA correlates
15 with gene expression and it also probably represents the number of cells that have
16 integrated events.

17 (Slide.)

18 So this is a summary. Five percent of the hepatocytes were stably
19 modified with AAV. The proportion of integrated genomes is small. Generally less
20 than 10 percent of double stranded vector DNA in these cells. Again, I think the
21 partial hepatectomy method probably will over estimate integrated copies.

22 Gene expression from integrated and episomal AAV genomes parallels
23 the proportion of vector DNA in each state. There is no detectable increase in the
24 proportion of integrated genomes over time. The proportion of transduced cells with
25 integrated genomes is small. Most integrants based on Jim Wilson's data are one or
26 two copy genomes and we are in the process of repeating those studies for
27 confirmation as well.

28 (Slide.)

29 I would just like to say that a lot of this work was done by Hiroyuki
30 Nikei, a post-doc in the lab; Carol Maio; some of the early study that I mentioned was
31 done with Richard Snyder; and a number of talented technicians in the lab. So I will
32 stop there for this part of the talk.

33 DR. JOHNSON: Thanks, Mark.

34 We have time for about two questions if the audience has one or two
35 burning questions if we could have the lights up for just a second.

36 Anybody have a question for Mark on this portion of his talk? He is
37 going to move on to talk about some safety data.

38 Yes, Rob?

39 DR. _____: Nice presentation, Mark. I have a question about
40 the organization of the episomal DNA and the integrated DNA. You mentioned you
41 found all molecular confirmations which is predicted by the physical association of the
42 genome. Earlier, though, most labs were reporting only head to tail concatamers,
43 which would predicted from an alternative DNA synthesis model. Do you think there
44 is some selection for one form versus the other?

45 DR. KAY: I think that is an excellent question and I am glad you
46 raised that point. We actually published and hypothesized as well that most of the
47 concatamers were head to tail in some of our Nature Genetics papers.

48 What we have found, however, is that if you actually isolate the
49 circular monomers, and there is quite a bit of those, away from the very high

1 molecular weight concatamers, that is if you physically separate those in agarose gels
2 and you can take and extract that DNA that only represents the high molecular weight
3 concatamers and then you do restriction digestion, you see equal numbers of head to
4 head, head to tail and tail to tail.

5 So what we believe is that we have misinterpreted our previous data
6 because of the contamination of circular monomers and also other small molecular
7 weight forms but when you isolate those away, everything becomes consistent.

8 Does that answer?

9 DR. _____: (Not at microphone.) Mostly. The other part of
10 the question is the integrated forms in vivo with only one or two exceptions have
11 always been head to tail. That seems to be --

12 DR. KAY: I think that there is a very small paucity of data on
13 integrated forms in vivo with vector and I think right now the only data that I am
14 aware of is Jim Wilson's data and I am not sure -- I do not remember if they have
15 characterized it that carefully but I think there is going to be a number of other studies
16 that we are doing and I think others are doing that will look at that more carefully.

17 The question is which form actually gets integrated and what is the
18 mechanism of integration? I think that that is unknown but one thing I would say is I
19 do not think that it is dependent on the AAV ITRs because if you take that single
20 strand experiment and you lop off the AAV ITRs you see the same formation of the
21 concatamers, with or without the AAV ITRs, the same kinetics of expression. So
22 whatever the mechanism is, in my opinion, you do not need the AAV ITRs.

23 DR. SLY: You emphasized that most of them do not integrate but the
24 other way to look at it is that maybe 10 percent do.

25 DR. KAY: It is probably less than 10 percent in most cases. It is
26 definitely detectable. I would say it is between -- my guess is it would be between one
27 percent and 10 percent.

28 DR. SLY: (Not at microphone.) Appreciable amount.

29 DR. KAY: Yes. But if you compare that to retroviruses, which, you
30 know, people have worked on a long time, it is actually a low number.

31 DR. JOHNSON: Please, we would ask the questioners to identify
32 themselves and their organization before they speak.

33 DR. KAY: Yes.

34 DR. JOHNSON: We will have this question and then one more from
35 Dr. Samulski.

36 DR. _____: In your recombination studies do you have any
37 idea with your hepatocytes that have integrated genomes where their growth
38 characteristics are altered, and I guess there could be a bias if one actually had some
39 impairment in mitosis that you would not get repopulation. So whether you have an
40 integrated --

41 DR. KAY: I am sorry. I did not hear.

42 DR. _____: The question is whether you have an integrated
43 genome, whether it disturbs the actual cell cycle and the mitotic potential of that
44 hepatocyte which could potentially bias some of the interpretation, I guess, if you had
45 some impairment of growth in cells and the AAV genome integrated.

46 DR. KAY: I think that is a good point. I mean, I cannot answer that
47 definitively. What I can say is that in the FAH deficient mice relative to retroviruses
48 the same sort of rescue is seen with AAV. And when I have talked to Marcus about
49 this, he has seen no evidence that there is any type of unusual business. If you give a

1 good enough dose you can repopulate the animal with the same type of kinetics that
2 you can with retroviruses. Although again these studies are very preliminary and we
3 need to do more studies. I mean, I think this is something that we are keeping in the
4 back of our mind.

5 What I can is at this time there is no evidence to suggest that but there
6 is no definitive evidence to support the other either.

7 DR. JOHNSON: Jude, one final question.

8 DR. SAMULSKI: Mark, I want to make a comment and then ask you
9 a question. The comment is I think we need to be careful in taking plasmid DNAs and
10 introducing them into animals and observing observations and then concluding this is
11 how the virus probably carries out these steps maturation to a molecular fate because
12 typically I do not think it would be fair to take lipids and put in materials and then say
13 this is how retroviruses deliver their genomes because it is basically a lipid and we are
14 getting it into the cell and so forth. So that is just a general comment that I do not
15 want get misperceptions out there that we are mimicking what the virus is doing
16 because we do not understand a lot of those steps.

17 The question is in the animal where you said you saw over 50 percent
18 integration events, do you have any inclination on why that type of event could occur?
19 It suggested it could happen and I guess the critical question is was there something
20 unique to that vector prep or animals that might have gave a propensity for a high
21 level of integration?

22 DR. KAY: The first thing -- let me make a comment about your first
23 comment. I would agree with what you say but I am trying to emphasize after the
24 genome is in the nucleus and I know that still is different because it is not complex to
25 the viral proteins. I am trying to use it as one piece of evidence with the other two
26 pieces of evidence about the annealing but I do accept the point and I think it is
27 important because prior to getting into the nucleus and even once it is in the nucleus a
28 lot of other events have to take place.

29 The question about what was specific about that animal, I have
30 absolutely no idea. The livers appear normal. With that particular dose and batch of
31 vector, three animals were administered AAV. The dose was higher than what I
32 showed but only one of three animals had that high of an integration so I have no idea.

33 SESSION II: PRECLINICAL STUDIES

34 USING AAV VECTORS

35 DR. JOHNSON: Okay. We are going to ask Mark to move on to the
36 second presentation which is on long-term safety data.

37 LONG-TERM SAFETY DATA

38 MARK KAY, M.D., Ph.D.

39 STANFORD UNIVERSITY SCHOOL OF MEDICINE

40 (Slide.)

41 DR. KAY: So obviously since the observation that AAV appeared safe
42 and therapeutic with Factor IX, we have been very interested in pursuing clinical
43 trials. And, as I am sure you are all aware, we have worked with Avigen and Kathy
44 High and, as a group, we have moved forward in the clinic, and you will hear more
45 about that later from Bert Glader.

46 But what I would like to say is that toxicity can be divided into
47 different issues and really what we want to focus on is long-term toxicity and we have
48 done quite extensive studies of acute toxicity in mice where probably thousands have
49 been injected. Lots of rats, some dogs. We have done biodistribution, germ line

1 transmission, and I am just going to summarize in two slides.

2 (Slide.)

3 Probably the largest acute toxicity study we did was in collaboration
4 with Avigen done under GLP conditions in which we started with a large number of
5 rats and gave them either the excipient, an AAV null vector or an AAV Factor IX
6 vector that we want to use in a liver based trial at different doses. And then we
7 sacrificed the animals. We measured lots of things, including hematological
8 parameters, serum chemistry, histopathology, cytokines, and then did biodistribution
9 studies.

10 (Slide.)

11 I will just say that, you know, these acute toxicity studies have
12 basically turned up nothing in regards to safety issues of the vector.

13 (Slide.)

14 We have also done a gonadal distribution study in dogs. We use a null
15 vector that has a truncated beta gal expression cassette so it cannot express any gene
16 product. We inject this in the dogs. Doses range from $3-7.5 \times 10^{12}$ particles per
17 kilogram and we do semen collection at different time points afterwards.

18 (Slide.)

19 I just want to show you that we can inject into the hepatic artery using
20 a balloon catheter that goes in by standard clinical technologies the invasive
21 radiologists do. The catheter goes in, the balloon is filled, and you can inject dye to
22 prove that you are in the hepatic vasculature. You wash out the dye, let the dye wash
23 out, and inject the vector.

24 (Slide.)

25 I am showing you a Southern blot from one of these animals because I
26 think there is one important long-term safety issue here. If you do a concentration
27 curve shown here, you take liver sections from different pieces of the liver, the
28 different lobes, lobes one through four, you can do Southern blots and also look at the
29 spleen. We see no DNA in the spleen at a pretty low sensitivity and we have
30 summarized all this data here in the three different dogs that we have injected in the
31 different lobes.

32 (Slide.)

33 There is a little bit of variation between lobes but it is fairly
34 concordant. We have also seen this in mouse and rat. Importantly, there is no vector
35 genomes detectable by Southern blot at this level of sensitivity in these animals.

36 (Slide.)

37 So just to summarize a lot of data in dogs, rats and mice, we have
38 administered doses of AAV that are 50-fold higher than our proposed starting dose
39 that we want to do in patients. There has been no evidence of serum toxicity measured
40 by chemistries, liver functions, hematologic parameters, cytokine levels, and absence
41 of germ line transmission. Again studies done in collaboration with Kathy High's
42 group, less than one AAV per 3,000 genomes, and histopathology has been relatively
43 unremarkable.

44 (Slide.)

45 Now what I have done is I decided to try to summarize as much long-
46 term safety data as I could and I would like to acknowledge that there were a number
47 of investigators who shared data with us. I guess I can cross me off but Kathy's group,
48 Gordon Watson's group at Oakland Children's, Kathy Ponder at Wash. U., a group in
49 France, and Inder Verma's group.

1 So what I would like to do is talk to you and show you some long-term
2 safety data injecting AAV in different animals. Now when I do talk about hemophilia
3 B dog studies, I realize that Tim Nichols at University of North Carolina has been
4 involved with all of these groups and a collaborator, and that even though I have only
5 listed single names that there are a lot of people involved with these studies but for
6 simplicity I have listed the principal investigator.

7 (Slide.)

8 Now the first thing I want to do is talk about data from our group and
9 to show you that -- and this slide, the first of three slides -- we injected in this slide 18
10 mice with various AAV constructs into the liver by one method or another. Either
11 direct liver injection or portal vein injection. And the dose here is total dose per
12 animal. If you want dose per kilo you should multiply by about 50. This is vector
13 genomes. Portal vein, 3×10^{11} to about 1×10^{11} in direct liver. Different strains of
14 mice, NUDE mice, Black-6, et cetera. They were all around five to eight weeks of age
15 at the time of injection.

16 And these animals that I am listing from our lab are all animals that
17 were followed for one year or longer and their livers were examined at some level.
18 Most of these animals had their livers removed for molecular analyses and what we do
19 is we cut the livers up into little pieces and aliquot them out to different studies.

20 We can see in this slide that they varied from 12 months all the way to
21 19 months in follow-up. None of these animals had any liver tumors.

22 (Slide.)

23 A second slide shows a continuation of that. Again different vectors,
24 different -- these were all portal vein injections. 2.4×10^{11} to 3×10^{11} . These animals
25 were followed for 12.5 and 14.5 months as well.

26 I should also point out that in this slide, many of these animals all the
27 way up to here used an old prep of virus that actually was contaminated with wild type
28 AAV so the issue about wild type contamination, at least in this group of mice did not
29 have any liver tumors.

30 (Slide.)

31 Now we have also done dog studies. As I have mentioned, we did
32 these null dogs but these were followed for short periods of time and sacrificed after
33 about five months. We have two dogs that we have published on. Again a
34 collaborative study with Tim Nichols and Richard Snyder. Some of these animals are
35 out over -- one animal died at 795 days. I should point out this is the dose they got per
36 kilo in the portal vein. The animals were about three months of age.

37 We followed this animal for 795 days. When it developed a spinal and
38 brain hemorrhage, we sacrificed and the gross pathology, including examination of the
39 liver was normal.

40 We have another dog that has still survived. It is still making about
41 one percent canine Factor IX. It had an abdominal ultrasound in January and even
42 after about 1,100 days there is no evidence of liver tumors.

43 I just want to point out that one of these -- for the null dog studies we
44 had to use very old dogs because we needed to get semen samples and these dogs were
45 well over seven years of age. We do not have the exact age. One of the animals did
46 have a tumor but again it was found five months after injection of AAV and this tumor
47 is not uncommon in older dogs.

48 (Slide.)

49 This is a summary of Kathy Ponder's data at -- so, in total, we injected

1 29 mice and we have data from two long-term dogs and three acute dogs.

2 Kathy Ponder's data is depicted here. She has followed animals for 300
3 days to 1.2 years. She has given some very young animals vector by intramuscular or
4 intravenous injections. Again different animals, different ages, different doses. And
5 in none of her animals did they find any evidence of liver tumor.

6 (Slide.)

7 This is Gordon Watson's data and I brought his dataset, although it is
8 small, there is four animals. These were actually the MPS VII knockout mice that we
9 will hear more about later. They were injected again in the neonatal period either by
10 IV or some IV and intrathecal injection with doses of an AAV-C and B beta GUS
11 vector. And again these animals were all followed for over a year and there was no
12 evidence of liver tumors in these animals.

13 (Slide.)

14 This is Inder Verma's data. These four animals were published in
15 Molecular Therapy. This is a follow-up.

16 This first dog has been followed out almost two years. Had a normal
17 liver ultrasound in January of 2001. Again these animals were three to 12 months
18 when injected.

19 This animal died seven months after therapy. No gross abnormalities
20 were found.

21 This animal was followed a little over two years. Again normal liver
22 ultrasound.

23 And this animal was just under two years and also had a normal liver
24 ultrasound.

25 (Slide.)

26 This is Kathy High's data and what I am showing you is her hemophilia
27 B dog studies with IM injection of AAV, CMV canine Factor IX. These are the ages
28 again of injection. These are the doses. Some of these animals have been followed
29 out over three-and-a-half years. Many of them two years. The minimum period of
30 time is 17 months.

31 These animals have also had muscle as well -- many of them have had
32 abdominal ultrasounds as well, even though they got this vector by IM, and there is no
33 evidence of tumors.

34 (Slide.)

35 This is a continuation of her data. Some of these animals down here --
36 there were four animals that received either portal vein or mesenteric veins to liver
37 directed therapy, followed for five months to 12 months.

38 This animal is alive four months after therapy, normal liver ultrasound.

39 Followed ten months, had a liver biopsy, normal.

40 Followed seven months, died, did not have any evidence of tumor.

41 And followed six-and-a-half months, alive and still being followed, and
42 no evidence of tumor at this time.

43 (Slide.)

44 This is the French group. You cannot see it well but these are monkeys
45 and these monkeys have all been given intramuscular injection of AAV. They varied
46 in age at the time of injection from years to months. They have been followed eight to
47 18 months and they are alive, and they have had various procedures, et cetera, but
48 there has been no evidence of tumors, et cetera.

49 (Slide.)

1 So if we summarize the data that I have presented to you, since we used
2 a cutoff of 12 months, this number might -- may be a little bit higher but we had 56
3 liver treated mice followed between 10 and 19 months without evidence of liver
4 tumors in any of these animals.

5 Fourteen liver treated dogs, 11 with hemophilia B and three normal
6 followed for more than four months to more than three years.

7 Eleven muscle treated hemophilia B dogs followed for four months to
8 more than three years.

9 Eight muscle treated nonhuman primates followed for eight to 17
10 months, and again there is no evidence of tumors or long-term problems in these
11 animals.

12 (Slide.)

13 Now what I would like to do is in the last couple of minutes talk about
14 an experiment we have done in collaboration with Mark Sands. You are going to hear
15 more about this MPS VII mouse in a few minutes but Mark graciously shared some of
16 his liver tumor samples with our lab and I want to extend thanks to Hiroyuki Nikei who
17 has worked extremely hard over the last few weeks to generate the analysis that I am
18 going to show you.

19 So basically what we did is we isolated DNA from three of the tumors
20 that he sent us.

21 (Slide.)

22 And this is a depiction of the map of the AAV vector that he will talk
23 more about that we injected into these animals. We used in this experiment a 2 Kpn
24 and Eco R1 probe. This particular Southern represents a Hind III digest. This Hind
25 III digest cuts outside the vector. If there is an integration event you would expect to
26 see unique bands at different sizes because again it does not cut within the vector.

27 (Slide.)

28 This is a control here. Hind III. Again this is a plasmid control added
29 to naive liver DNA showing sensitivity down to less than .1 copies per cell. And
30 actually with over exposure you can get to .03.

31 (Slide.)

32 This is a control cell line, a positive control. This is a retrovirally
33 transduced cell line. It has a different restriction map but it has an endogenous GUS
34 gene in it and this is the same line without the retrovirus.

35 These are the three tumor samples. There is no evidence for AAV
36 genomes in this particular blot.

37 (Slide.)

38 We did a second digest with a Kpn enzyme. This cuts once in the
39 vector. This should -- if there is a single integration event you should get a single
40 band at different sizes in different tumors. If there is concatamers what you would see
41 is a single band unit length or depending on which way the concatamer was. This is a
42 one copy standard positive control retroviral line and this is our livers from two of the
43 three animals. We had a third one in a second blot but this blot looked nicer, and
44 again they are negative in all three examples.

45 (Slide.)

46 This is a Kpn Hind III digest. Again this is a one cutter and a no cutter.
47 The idea being if we saw a single integration event we would change the size of the
48 band by doing this double digest. Again we do not see any evidence for any AAV
49 genomes at pretty high sensitivity.

1 (Slide.)

2 Now this is where we get confusing and I do not have explanation for
3 this result but it has been repeated with the same result.

4 This is a Bgl II digest and the idea here was to cut something internally
5 that would actually give you a copy number if we did see some evidence for
6 integration.

7 And what we see here, this is a concentration curve. This is Bgl II
8 plasmid representing copy numbers per cell. Our sensitivity here is less than .1.

9 In the positive control we get two bands. In the negative control we
10 see no bands. You know, there is a small endogenous band here seen in everything
11 that is just probably the mouse sequence.

12 But this is what I do not understand: In two of the three tumors there is
13 a band and it is at around -- between eight and nine kb. They are slightly different in
14 size in the two tumors. And they are not the expected 1.5 kb band. I absolutely
15 have no explanation for what this is. If anyone could explain it to me, I would be
16 happy to listen to any comments.

17 What I will say, though, is that if you look at the intensity of this band,
18 it is around .1 genome copies per cell. If you look at this band it is well less. It is
19 about .05 copies per cell. So what we can probably conclude from this is that if this
20 really represents something real, it does not represent insertion mutagenesis because
21 the copy number is so low, .1 copy per cell and .05 copies per cell. What does
22 not make sense to me is why we do not see it in the Hind III and the Kpn and the Hind
23 III Kpn I digest.

24 So, unfortunately, I do not have a conclusion slide because I do not
25 have a final conclusion other than I think whatever is going on, it is not insertional
26 mutagenesis.

27 I think you will hear data later on from Terry's lab as well as Mark's
28 about their Southern and real time PCR data, which may bring some of this together or
29 may make it more difficult. I do not know.

30 DR. JOHNSON: Okay. Thanks, Mark.

31 I think we have time again for one or two questions.

32 DR. SLY: That Bgl II cut was in what?

33 DR. KAY: That was in genomic liver DNA, total liver DNA.

34 DR. SLY: No. I mean, you were trying to cut the GUSB gene?

35 DR. KAY: Yes.

36 DR. SLY: Okay.

37 DR. KAY: It is a double cutter.

38 DR. SLY: If you had selected against retention of the GUSB that
39 might explain.

40 DR. KAY: Again? I am sorry.

41 DR. SLY: If there were some selection against retention of the GUSB
42 that might explain your result, is that right?

43 DR. KAY: Well, I do not think you can explain the result because you
44 would expect to see -- first of all, you expect a 1.5 kb band. So even if you assume
45 that there was a very unusual rearrangement of that that knocked out the one end of
46 the Bgl II site and were giving you unique fragments, you would definitely see it in the
47 Hind III Kpn I digest, which you do not.

48 And many of these blots have been repeated more than once. We
49 had a limited amount of tumor DNA so we could not do as much as we wanted to and

1 some of the samples -- some of these -- most of these blots have been repeated twice
2 and they have been very concordant.

3 DR. JOHNSON: Terry?

4 DR. FLOTTE: I think you anticipated my question, which was that --
5 do you not -- is there evidence that this is not some form of concatamer, episomal
6 concatamer that is -- that has either been rearranged or not been faithful in terms of the
7 ends being perfectly conserved because one of your Bgl II sites is sort of close to the
8 end of the genome?

9 DR. KAY: Right. I mean, I still think it would be an unusual
10 rearrangement but not totally unbelievable if we would have seen it in the other digest,
11 and that is what is really puzzling to me. And, again, we repeated all these more than
12 once.

13 DR. FLOTTE: Yes. It would be interesting to see all the sites laid out
14 exactly where they are in there because I think you could theoretically come up with a
15 rearrangement that would exclude those single cuts as well as the left hand.

16 DR. KAY: With no cutter you should see it or with a one cutter. Even
17 if that one cutter is gone, you should still see a band and we do not see it and the
18 sensitivities are the same.

19 DR. FLOTTE: But with the no cutter --

20 DR. KAY: And even --

21 DR. FLOTTE: I am sorry. With the no cutter you would see it if that
22 rearranged form were integrated but if that rearranged form actually was somehow
23 spread out in a high molecular weight concatamer it might be --

24 DR. KAY: Right.

25 DR. FLOTTE: -- a little difficult to see that way.

26 DR. SAMULSKI: Mark, can you give us a little information about the
27 mouse? Is the animal model, the gene completely knocked out?

28 DR. KAY: It is a one base pair of frame shift or deletion that knocks
29 out the gene product but it does not affect any of the major restriction sites.

30 DR. SAMULSKI: So based on that how much homology is there
31 between the probe and the gene? What is put in the vector and the endogenous gene?

32 DR. KAY: We -- now you guys can answer that better than me but I
33 can say under our Southern conditions we always used several lanes of naive mouse.
34 We used a mouse cell line that also had the gene and if you look carefully at over
35 exposed blots you do see a little bit of endogenous band. In some of the blots I tried to
36 depict that by an arrow but these other bands are clearly not.

37 DR. SAMULSKI: Mark, can you --

38 DR. SANDS: Yes. I mean, there is homology between the mouse
39 gene and human but, as Mark pointed out, it is not the best probe to use. The human
40 probe is not the best if you are going to look for the mouse gene. Again we have done
41 Southern blots and we have seen the same thing. It does not cross hybridize that
42 strongly. I doubt what Mark is seeing is from the mouse gene. In fact, I do not know
43 of a 9 kb Bgl fragment from the mouse gene. We have looked. We do not see it. By
44 the restriction map there is nothing there.

45 DR. JOHNSON: Okay.

46 Mark, thank you very much.

47 DR. KAY: Thank you.

48 DR. JOHNSON: Is there one more question?

49 DR. McKEON: Mark, you showed us a bunch of different vectors,

1 probably over 100. Could you tell us how many of those have a promoter that is
2 similar to the one that Mark Sands used and how many contained a lysosomal enzyme
3 transgene? I assume Gordon Watson's did but I do not know about --

4 DR. KAY: Yes, Gordon and some of Kathy Ponder's, I believe,
5 because she did those studies, I think, with you. The exact number I would have to go
6 back and count. I do not remember off the top of my head.

7 I believe that Terry is going to show data using a similar promoter with
8 different transgenes. The GUSB of Gordon Watson used a CMV promoter enhancer
9 and it is different than what Mark has used.

10 DR. JOHNSON: Thanks.

11 We are going to move on to Terry Flotte from the University of
12 Florida. Terry also has a dataset that speaks to long-term safety and I will ask Terry, if
13 he can, to truncate his presentation slightly if possible so we can get back on time.

14 LONG-TERM SAFETY DATA

15 TERENCE FLOTTE, M.D.

16 UNIVERSITY OF FLORIDA

17 DR. FLOTTE: Thanks, Phil.

18 I want to thank the organizers for the opportunity to present our data
19 and we actually have both a significant amount of data about the preclinical safety
20 question and about the integration and persistence question.

21 (Slide.)

22 Just to back up a step here, in assuming that there is some risk, which I
23 think some people have assumed without data that there is a risk of vector induced
24 tumorigenesis, we are assuming that the vector is probably going to be either capable
25 of insertionally activating oncogenes or disrupting tumor suppressor genes. If this
26 were totally a transgene effect then that would be interesting but that would not be a
27 general consideration for changing safety monitoring in the field.

28 This assumption, of course, assumes that the vector does integrate
29 within a gene in order to have a biological effect, integration outside of a gene, much
30 less like to do that, which of course itself assumes that the vector integrates.

31 And so I thought we should in the course of doing -- presenting our
32 animal data, present some data that addresses those questions. Are we observing those
33 things happening?

34 (Slide.)

35 And I think relevant to this consideration, as Mark, Phil and others
36 have suggested, is data on the number of integration events, which sort of starts out
37 with a mental calculation of the number of copies per cell and then looking at the
38 proportion that are integrated versus episomal. Or another way to look at it is the
39 proportion of vector ends that are associated with junctions into the host cell
40 chromosome, which, as he nicely laid the groundwork, is mapped best by Southern
41 blotting.

42 But again theoretically for those thinking risk assessment, obviously
43 100 copies, even if the vector is integrated, 100 copies integrated as one long multimer
44 will present statistically less of a risk than 100 independent events presumably.

45 Now I am going to present some data on various elements of this
46 related to lung delivery of the recombinant AAV CFTR vector, which is the vector, as
47 Phil pointed out, that has been -- had the most clinical use, about 70 human subjects
48 treated with this. I will present some of the data that we have presented to the RAC in
49 1994 for approval of the first clinical trial.

1 I will present some muscle data, which is actually very interesting and
2 talks about some host cell effects, and then we will present some liver data. I am not
3 going to talk about the quantification of copy number that we did in Mark Sands'
4 tumors. We will leave that to him but just to say I was amazed to see that even though
5 we did this in an entirely blinded fashion that our copy number estimates agree
6 completely with those of Mark Kay so precisely.

7 I also want to point out we did, which I am sure Mark will, that we
8 have done quantification of other tumors that have absolutely no detectable vector
9 DNA. An important point that some of these tumors have no detectable copy numbers
10 at all.

11 (Slide.)

12 But let's go into our data. I am going to very -- in an almost unfairly --
13 capsulize here this data that we generated about the fate of vector from human
14 bronchial epithelial cells and then from Rhesus and from rabbit studies.

15 We actually did studies in probably about 80 rabbits, including all the
16 biodistribution studies in the short-term animals and so forth, but 17 animals that were
17 followed fairly long-term, at least three months, out to two years and that were
18 examined systematically by a pathologist.

19 Rhesus, we think, is kind of interesting. It is a shame they are so
20 expensive and hard to model because I think it is important to point out that Rhesus
21 are an experimental infection model of AAV. Phil did not mention this but we
22 published a couple of papers on this that, in fact, in Rhesus monkeys AAV II can, in
23 fact, persist long-term wild type virus. It can integrate with some frequency
24 particularly persistent lymphocytes, which is actually concordant with one human
25 report. It can be rescued. It can either replicate with coadministration of an
26 adenovirus, a host range adenovirus that replicates in monkeys or can be rescued.

27 So Rhesus has some biological merit to it. Again it is -- why does it do
28 all that? It is a natural host. It has an AAV S1 homolog that Jude's group has
29 described.

30 And then we have data in human cells that admittedly is ex vivo but, in
31 fact, it has -- we had good estimation of copy numbers by Southern blots and FISH,
32 integration frequencies comparing FISH metaphases with FISH interphase signals, and
33 comparing fraction in the DNA Southern blots. And essentially to summarize it, in the
34 ex vivo studies we saw a somewhat higher integration frequency, although still less
35 integration than total copies certainly.

36 In the in vivo studies which were done by putting the vector into the
37 lung of the animals and then subsequently at the timed intervals harvesting those cells
38 and either directly doing Southern blotting or propagating them so that we could do
39 FISH with the metaphase preps, we are able to see a high copy number right at the site
40 of administration but no detectable integration. Again suggesting that there is --
41 that the vector in the lung is mostly episomal.

42 It does not rule out some proportion but most -- it is certainly far
43 different from the mechanism of a retrovirus where one active copy is one integration.
44 Here the data is not detectable, which probably means less than 10 percent.

45 And then, of course, to point out no tumors were identified in any of
46 these animals and it was a fairly large dataset there.

47 (Slide.)

48 Just to show you a little bit about what this FISH data sort of means.
49 Here is a control here. We have wild type AAV and bronchial epithelial cells. This

1 was Sandra Afione in my lab and Bill Kearns generating this data. You can see the
2 sister chromatid integration on the labeled chromosome 19 here.

3 But the cells from the monkeys, what you see is you see signals in
4 interphase nuclei but you do not see signals in metaphase spreads. Again there could
5 be differences in sensitivity here but this is very consistent with the Southern blot data
6 showing low molecular weight episomal forms and higher molecular weight forms
7 that appear to be concatamers.

8 (Slide.)

9 Okay. Now I want to talk about some of our IM experience. I do need
10 to acknowledge the NHLBI, which I should have acknowledged, for funding the lung
11 studies on a different grant. But anyway we have a lot of experience with an alpha 1
12 antitrypsin vector, which -- some of which has got a different promoter than the Sands'
13 vector, and much of which has the same promoter. High doses in these studies, up to
14 10^{13} DNA resistant genomes per animal, which is about 4×10^{14} genomes per kilo.

15 Twelve animals in the original study, 36 animals subsequently.

16 But I want to tell you a little story here that I think is rather interesting
17 about how these -- we think these concatamers are forming that really was a
18 serendipitous observation in our comparison Black-6 versus Black-6 SCID mice.

19 (Slide.)

20 Now this is the expression data and, as Mark pointed out, you give a
21 single injection. This is actually IM data. Single injection, slow up slope of
22 expression, reaches a plateau, which goes out forever.

23 Now when we originally did these studies we did them both in Black-6
24 mice and in Black-6 SCID mice because in some of our earlier studies we found
25 antibodies were developing to human AAT in the mice. So we did this because of
26 concerns about immune response, which turned out not to be an issue at all.

27 The story I will get back to in a minute is that these mice actually
28 genetically differ only at one locus which is the catalytic subunit of the DNA
29 dependent protein kinase, which is involved in VDJ recombination to generate
30 immunoglobulin and T cell receptors.

31 So when we get to the story about how the genome looks here it is
32 going to be interesting.

33 (Slide.)

34 Just as Mark has done, we have looked at a Southern blot analysis and I
35 have simplified this because we do zero cutter or one cutter, two cutter. The other
36 forms are easier to understand but we can easily, with a one cutter that is asymmetric
37 here, distinguish free ends of the vector.

38 If we consider just the tail end now, we can see free ends of the vector
39 that are not involved in junctions to anything. Concatameric ends that are tail to tail so
40 that you get twice the free end band size or these head to tail concatamers that were
41 talked about before that nicely drop out of a unit size band. A one cutter will
42 give -- in a vector genome junction will give a faint smear or a new band if it is a
43 clonal integration.

44 (Slide.)

45 I presented this -- I mentioned this before but this data is all in these
46 SCID mice. Two points I want to make. I will not go through all this but we can see
47 all the different versions just as Mark has said, tail to tail, head to tail, free ends and
48 then what appears to be integrated copies. This down shift here where you go from
49 uncut DNA to cutting with a zero cutter that cuts outside the vector and some other

1 information I will give you in a moment.

2 Interestingly, at 18 weeks we see a lot of this free end material here,
3 linear episomal monomers or at least, you know, free ends, which are high -- you
4 know, account for a high proportion of the total genomes, which are shown here in the
5 two cutter.

6 By 52 weeks this drops down quite a lot and it is not really accounted
7 for by other forms and so when we have analyzed this by densitometry, essentially
8 what we are seeing is lots of free ends early on, about 20 percent. A lot of these other
9 forms as well, as Mark said, and -- but what appears to be a fairly high number of
10 vector to genome junctions or junctions that cannot be accounted for anywhere else
11 that increases over time.

12 What I did not focus on initially was that this is all SCID mice
13 data.

14 (Slide.)

15 Well, we have gone back, much to our surprise, and looked in the
16 Black-6 mice. Now you will have to look at this. There are different copy numbers in
17 these two samples, which is because it is something to do with the fact that when we
18 sample the muscle that is injected, we inject a large area of the muscle to optimize
19 expression, and we do a lot of different studies.

20 But I will just focus you in here on the free ends. Free ends are very
21 abundant in the SCID mice, not detectable in the Black-6. You might say, well, that is
22 really a big difference here but you can look at the head end again. In this -- if you use
23 a CMV probe out here, very clear free end band here, no free ends here, and again
24 here in this case this two cutter actually -- the probe straddles this so lots of free ends
25 here. No free ends in the normal mice.

26 (Slide.)

27 What could this mean? Well, you know, I -- it is not as simple as this
28 model. This is not an all or none phenomenon and I put this up here as the beginnings
29 of a model that goes to a step one beyond where Mark has nicely outlined the issues of
30 how you get to a double stranded form and beyond -- and the fact that there is
31 concatamerization but how might this concatamerization occur and why would the
32 presence or absence of the catalytic subunit of PK have an effect here?

33 Well, one, you know, fantasy here might be that in the presence of PK
34 it might actually interact somehow specifically with the ITRs or perhaps just with free
35 ends of DNA. We have not formally proven this is an ITR interaction. And that
36 would favor, in fact, a fairly rapid evolution from these forms to these concatamerized
37 forms, which would form regardless of the orientation so you would not really care
38 here whether it was head to tail, tail to tail or so forth.

39 But in the absence of PK it is clear we are observing a lot of linear
40 monomers very far out, which is very different from what we see in the Black-6 mice.
41 And then the -- and then the progressive accumulation of these integrated forms.

42 (Slide.)

43 Now I have put this up here as a -- just to get to a basic question
44 because somebody, who is a smart person, asked me, "Well, why would you think that
45 AAV would not form tumors?"

46 Well, one reason might be if this DNA is interacting with a component
47 of the host cell nucleus and PK is really ubiquitous in various cells. And using, you
48 know, this mechanism, which it actually is a -- it does have DNA ligase activity -- to
49 form a relatively safe, to be provocative, a relatively safe episomal or concatameric

1 form.

2 Now again you could still get some integration on these ends here but,
3 you know, your risk per delivered genome would be very different.

4 (Slide.)

5 Now I am going to present some very preliminary data that might
6 support this model and I cannot go through all this in detail here but this is an in vitro
7 integration assay and I just want to point out here that if you focus in here, we have
8 done this with and without rep for other questions we have about how this might
9 function in terms of wild type, but in terms of recombinant you focus right here on
10 minus rep lanes.

11 If you in vitro add in PK you inhibit the integration, the readout here
12 for integration is this unique band, you inhibit the integration, you decrease the PK
13 some, you get more integration, you go to just the nuclear extract alone and you have
14 this amount of integration. Then you remove PK with an antibody and you further
15 enhance integration.

16 (Slide.)

17 Now could this be some kind of artifact? Well, we have also looked at
18 some stable cell lines that are available. The MO59J cells, which are PK- or the K
19 cells that are PK+, again confirm that by Western blot, J cells totally PK-. K cells
20 actually over expressing PK as compared to HeLa cell extracts.

21 And again it is pretty simple here. K cells have DNA PK, much less
22 integration. J cells lack PK, much more integration. And the same trend with or
23 without rep but it is really a different story.

24 (Slide.)

25 So to come back to this again. You know, perhaps integration can be
26 strain specific for a number of different reasons. And essentially to say the host -- host
27 strain plays a big role in this risk assessment. Now, you know, I do not have any
28 reason to say that the MPS VII mice have an absence of PK but the point is just simply
29 that the host may have a mechanism to deal with these genomes, which results in the
30 observed -- what might be considered unexpectedly low incidence of mutagenesis.

31 These have never been successfully used to do mutagenesis studies. I
32 think it is worth pointing out historically that a lot of people have thought, well, if this
33 is an integrating vector, and without rep it integrates randomly, wouldn't this be a great
34 way to generate insertional mutagenesis libraries. And everybody has failed to do that
35 so far. I think that is an important point, you know, that insertional

36 mutagenesis is just not seen.

37 (Slide.)

38 Now the next part, I want to talk about the liver. We have talked about
39 lung. We have talked about muscle. I want to talk about the liver now. We again
40 have to acknowledge the NIDDK, who has funded several investigators here in a P01 -
41 - it is not here, down in beautiful sunny Gainesville -- to look at AAV delivery to the
42 liver, including many people who contribute or just donated their data to us to look at
43 in this safety comparison. Phil Lapis, Ken Berns, Barry Byrne, ourselves, and others.

44 Like Mark Kay's data, we see this slow rise. We see a peak between
45 two and four weeks that is sustained for a long time. Levels of expression are very
46 high. We have done experiments with -- all this data is with the CVA promoter, the
47 CMV enhancer, chicken beta actin promoter with this part of the rabbit beta globin
48 entron. The same cassette. We actually got the cassette from Mark Sands. And
49 we have done doses that are shown on here in infectious units. 3×10^{10} infectious units,

1 which is about 10^{12} genomes, which in the mouse is 5×10^{13} per kilo.

2 (Slide.)

3 I have probably already gone over my time so I will not go through our
4 Southern blots in great detail again, except to say that what we find mostly in these
5 are, in fact, by virtue of this one cutter in these now intact Black-6 mice are evidence
6 of these concatameric vector to vector junctions, no evidence of free ends, and not --
7 no genomes that we have to account for by integration by this general screening sort of
8 method. We do not have FISH data yet from the liver but we are getting there.

9 (Slide.)

10 So again we would say that probably less than 10 percent of genomes
11 are integrated. Very consistent with Mark's data which is reassuring but we are happy
12 about it.

13 (Slide.)

14 Now I have another table like this from our liver experience. I did not
15 do this with our lung and muscle but we were requested to do this and, of course, you
16 cannot read it. But the only point is that we have a bunch of studies with newborn IV
17 animals, a bunch of studies with adult, whatever you call adult. Actually weaning,
18 three to six week young mice by portal vein injection. And then a bunch with IV
19 injection into adults. Most of the vector there also goes to the liver.

20 We have done a number of different strains. We have not done MPS
21 VII animals. We have done a number of different vector cassettes. Some different
22 promoters but CB keeps coming up over and over. We use it more because it works
23 better. This was not intended as a safety study of the CBA promoter. Just we wanted
24 to get our genes to work.

25 The doses have been quite high and you cannot read these but our
26 newborn doses are typically in the 5×10^9 infectious unit range, which is about 10^{11}
27 genomes, which is in a newborn mouse that is about a factor of 500. So that is 5×10^{13}
28 per kilo. And follow it out for various time points.

29 (Slide.)

30 Now let me just summarize this data. Our experience with liver
31 delivery is 137 animals that have been systematically examined by Jim Crawford in
32 his core lab. Of those, 43 were newborn IV injected. Many of these, 40 percent of
33 these or more, were followed out for about a year or longer and many actually have
34 been over 13 months.

35 Mark has shared his data with us. Mark Sands. And we -- Ron Marks
36 in our group did a meta-analysis. If you look at this number versus the number of
37 tumors he observed, that is very statistically different as a dataset. If you just look at
38 the newborn IV injected, it is still very statistically different. And if you just look at
39 the long-term animals the significance is still there. It is not as dramatic but it is still
40 there.

41 So what we can only say from this is that with similar vector cassettes
42 and similar higher doses we see a very different and essentially nondetectable risk of
43 tumorigenesis.

44 (Slide.)

45 So, to conclude, in a number of sites of injection, the largest portion of
46 genome copies are persistent forms that are not involved in junctions with the host
47 genome and that is a carefully worded conclusion because again it could be part of a
48 concatamer that is hooked to the host genome at the ends but most of the copies are
49 not associated with the junction into the host genome.

1 The incidence of tumorigenesis with recombinant AAV is not
2 detectable in our studies. I have not tabulated the total numbers but are really literally
3 hundreds and hundreds of animals over the ten years we have been doing in vivo
4 studies by endobronchial, intramuscular, intravenous, intraportal routes up to 4×10^{14}
5 per kilo.

6 This dataset is statistically different from the Wash. U. dataset, which
7 has been handled very carefully, and so I suspect that there is something different in
8 the experimental design to account for this. The vectors are basically in common but
9 there is something else about the experiment perhaps. That at least statistically seems
10 to be the case.

11 And just to say that in our hands there is no objective evidence that
12 recombinant AAV poses a risk of carcinogenesis that is detectable with the number of
13 animals that have been done, which is a significant number.

14 (Slide.)

15 I have to acknowledge many people here. I have to acknowledge
16 Sihong Song who did most every Southern blot you have seen here and various other
17 collaborators who have donated their data. Ken Burns' lab with the in vitro integration
18 studies. Nick who sort of brokered this whole thing. I have to also acknowledge
19 Barry Carter. All of the original lung studies were done in his lab on the Bethesda
20 campus or in collaboration with Targeted Genetics Corporation, who has been our
21 partner all the way along. And various other collaborators at Hopkins. Bill Kearns,
22 who did FISH for many of our studies or taught us FISH. And then the funding
23 sources.

24 So I will end there. Thank you very much.

25 (Applause.)

26 DR. JOHNSON: Okay. We have time for a few questions for Terry.
27 Mark?

28 DR. KAY: Terry, I noticed that in some of your experiments you used
29 glycogen storage. I think that was Type 1. You were treating those animals.
30 Glycogen storage Type 1 deficient glucose-6 phosphatase.

31 DR. FLOTTE: Right.

32 DR. KAY: I mean, I want to make a point and then ask you a question.
33 I mean, in human disease almost all those individuals develop hepatic carcinoma and
34 the mechanism is not totally clear but it is believed to be or hypothesized to be due to
35 chronic insulin signalling. I wondered if you or anyone else that you know about has
36 data whether the mouse model develops hepatic tumors.

37 DR. FLOTTE: That is a good question. We actually excluded this --
38 the data in the MPS -- I am sorry. The glycogen storage disease Type 1 we ended up
39 excluding here because those slides were not systematically examined by our core.
40 Actually that was data that was given to me by Barry Byrne. It was actually done on
41 the NIH campus by Janice Chiu. And, in fact, there is an incidence of hepatic --
42 hepatocellular carcinoma development across the board. It is well described in this
43 model. As you have pointed out to us, it occurs in the patients.

44 The animals actually do not stay alive very long. The longest term data
45 is about two or three weeks after vector injection, you know. So there is really not
46 meaningful data with regard to any vector effects. So for that reason the data is really
47 short-term rather than long-term. It was not processed through our core. We did not
48 put that in here but we made the vector associated with those studies.

49 DR. JOHNSON: Phil?

1 DR. NOGUCHI: Phil Noguchi, FDA.

2 Just a comment and a question. Just to caution everyone that lessons
3 we learn from one type of virus may or may not really translate to the other. I think
4 your last statement, the only quibble we might have is saying that this is evidence that
5 carcinogenesis related to integration of AAV vector has not been demonstrated at least
6 in your data. There certainly may be other forms of carcinogenesis. As has been
7 pointed out, AAV as an instigator of -- itself of carcinogenesis anywhere has just not
8 been demonstrated. If we had a retrovirus, one obvious question is if you have a
9 multiple hit you do get tumorigenesis. So it is just saying that that conclusion is
10 correct if we add the qualifier of integration not being -- and tumorigenesis not being
11 demonstrated in your data.

12 The second question is in the -- was in the PK- animals or the ones
13 where you found more integration in the absence of the enzyme. Did you look at wild
14 type AAV? I am just thinking out loud. Would you -- might you expect to get
15 multiple integration sites say at -- instead of in one chromosome, in other
16 chromosomes?

17 DR. FLOTTE: Okay. This is a good point. We may end up -- there
18 may end up being reagents to do that experiment ultimately. Mice do not naturally
19 have a homolog to the AAV S1 site but there are some transgenic strains that are
20 available that are on a different background. They are not SCIDs and they are not
21 otherwise in the Black-6 background. So there is really not right now a mouse model
22 available to do the kind of study that you are suggesting, although there are strains that
23 could be crossed to create the strain that you are interested in or a transgenic could be
24 made in the SCID background.

25 DR. SAMULSKI: Terry, I would like to get you to help us a little bit.
26 In all of the animals you looked at, how many animals, if any, got the same dose that
27 Mark was using via the same route of administration at the same age?

28 DR. FLOTTE: Well, I do not want to go back to the slides. I believe
29 the total is 43 animals that got newborn IV injection. All of those animals have the
30 same vector cassette, AAV ITRs and the CBA promoter because we were given it by -
31 - given to us by Mark. And those were -- that is the newborn IV head vein injected
32 animals.

33 The doses in those instances generally are -- this particular vector, the
34 alpha antitrypsin vector packages very efficiently and so we have got higher titers of
35 that and the typical dose range was about 5×10^9 infectious units or 10^{11} genomes per
36 animal, which is about 20 to 50-fold higher than the Wash. U. data.

37 DR. SAMULSKI: So technically about 20 to 50-fold more virus put in
38 the same route of administration at the same age and then what is the length of time
39 that these animals were looked at for whether or not they had any --

40 DR. FLOTTE: I am going to put this back up and show you this.

41 DR. SAMULSKI: I think it is just important because it gives us a clear
42 example of comparing side to side.

43 DR. FLOTTE: That was the meta-analysis data that we showed.

44 (Slide.)

45 Okay. So I have the lost the ability of the pointer here for some reason.

46 All right. But anyway the -- here we go.

47 The newborn IV injected total was 43, 22 that went out past 11 months
48 or later. Actually eight months was the cutoff when you started seeing tumors but
49 roughly half of these. And these -- actually all the ones that went past this time point

1 at this route of administration were out well past 13 months. Some to beyond 18
2 months. And both this number and these numbers are statistically different than
3 Sands' dataset.

4 Actually let me correct that. This number and these numbers, zero of
5 these numbers are statistically different. If you narrow it down just to past 11 months,
6 zero to 22, that is just at the boundary of statistical significance. Like .06, I believe it
7 is, or something about around there.

8 But, you know, as Mark Sands alluded to, long-term exposure of the
9 liver -- I mean, if you think about it, you inject in that newborn period versus three or
10 four -- three to six weeks later, I mean you are still having long-term exposure of the
11 animals. But zero of 43 here, I think, as we point out, is very different from the total
12 experience of six of 59. But if you hone it down to zero of 22 versus six of 18 in his
13 studies that are out past these time points it is just at the boundary of statistical
14 significance. Zero looks different than six, you know. I mean, if you take a
15 nonstatistical approach when you are talking about 20 animals in each side of the
16 experiment.

17 DR. SAMULSKI: So, Terry, as far as the difference, it was a different
18 transgene and a different animal model?

19 DR. FLOTTE: Those are the only two differences that I can perceive
20 right now, although Mark may think of something else, but different transgene and a
21 different strain of mouse.

22 DR. JOHNSON: Well, thank you, Terry.

23 We are going to stop before the break.

24 I have been asked to announce that everyone needs to register if you
25 have not done so already, please. We are going to reconvene at about ten after 10:00
26 with Mark Sands.

27 Thanks.

28 (Whereupon, at 9:56 a.m., a break was taken.)

29 DR. BREAKFIELD: Can we start up again here?

30 Well, we would like to start up again. I know there is a lot of
31 excitement and a lot of things to talk about but it would be nice to do that in the
32 roundtable discussion so we can all hear what -- everybody's ideas.

33 The next talk I am sure we all want to hear. I would say just from
34 some of the conversations I have heard, this is a brave man who speaks his conscience
35 and conviction, and has taken a little bit of heat for it but I think that this is really the
36 way the process should work and I congratulate him on sharing his data with us and
37 allowing a nice open discussion format to talk about it.

38 So Mark Sands is going to talk about long-term data using AAV GUSB
39 vector in MPS VII.

40 LONG-TERM DATA USING AAV-GUSB

41 VECTOR IN MPS VII

42 MARK SANDS, Ph.D.,

43 WASHINGTON UNIVERSITY SCHOOL OF MEDICINE

44 DR. SANDS: Thank you.

45 Actually I am not sure if I really want to thank the organizers for
46 inviting me.

47 (Laughter.)

48 I seem to be the outside person.

49 As everybody, I am sure, is aware, the data I am going to show today

1 will present some of the toxicity that we have observed in our animal model following
2 AAV mediated gene therapy but before I actually get into the discussion of the tumors
3 we discovered, I first want to give a very brief overview of the experimental mouse
4 model that we used and the experimental design that led up to the discovery of these
5 tumors.

6 I would like to point out at this particular time that this study that we
7 did was not designed as a toxicology study. It was really designed as an efficacy study
8 to look at the effects of AAV on the disease. Okay.

9 (Slide.)

10 So for those of you who are unfamiliar with lysosomal storage
11 diseases, which probably many of you are. They are fairly rare disorders.

12 Lysosomal storage diseases are generally caused by deficiencies in a
13 single lysosomal enzyme. In the absence of any one of these lysosomal enzymes, the
14 substrates that are normally degraded in the lysosome cannot be degraded and they
15 accumulate to very high levels in the cells.

16 Now this accumulation of un-degraded substrates can lead to a broad
17 spectrum of clinical symptoms. Often times including auditory defects, visual defects,
18 severe skeletal dysplasia, visceral lesions and severe cognitive defects. And also
19 children with these diseases, as with our mouse model, have a dramatically shortened
20 life span as well.

21 (Slide.)

22 So over the last 10 or 12 years to try to better understand these diseases
23 and to try to develop novel therapies for this group of diseases, we have concentrated
24 most of our efforts on a murine model of one of these diseases called
25 mucopolysaccharidosis Type VII. As has already been pointed out today, MPS VII is
26 called by deficiency of beta glucuronidase. In the absence of this activity the
27 substrates that accumulate are complex glycosaminoglycans. For example,
28 chondroitin sulfate, heparin sulfate and dermatin sulfate.

29 In the absence of this enzyme or in the -- with the accumulation of
30 these un-degraded or partially degraded glycosaminoglycans you can get a wide
31 spectrum of clinical features. This side of the slide shows our murine model of MPS
32 VII.

33 I have got listed on here the clinical symptoms associated with this
34 disease, which again include severe behavioral abnormalities, corneal clouding, retinal
35 degeneration, profound hearing deficits, cardiac valve defects, intralysosomal storage
36 throughout most cells of this mouse, severe skeletal dysplasia and dramatically
37 shortened life span. Virtually all of these clinical symptoms are shared with the
38 human disease, MPS VII.

39 (Slide.)

40 So again we have used this model to try to better understand the
41 pathophysiology of the disease and again to develop novel therapies. Over the years
42 we have experimented with a number of therapies, including bone marrow
43 transplantation, direct enzyme replacement, a number of different approaches, and
44 most recently we have been using a gene therapy approach utilizing adenoassociated
45 virus vectors as the gene delivery vehicle.

46 (Slide.)

47 Without going into all of the preliminary data that we generated, we
48 first constructed an expression cassette, which is schematically represented here, in the
49 hopes that we could accomplish two things. First of all that we would get relatively

1 high level beta glucuronidase expression and we chose the expression cassette that we
2 did to not only get high level of expression but also to try to get ubiquitous expression.
3 So the expression cassette we used has already been at least superficially described
4 this morning.

5 It is composed of the cytomegalovirus enhancer, chicken beta actin
6 promoter, the first intron from chicken beta actin, the human beta glucuronidase
7 cDNA, and then that is followed by the rabbit beta globin polyadenylation signal.

8 This is an extremely powerful cassette, expression cassette, and it
9 functions quite well in almost every cell type that we have put it in so far.

10 So we created this adeno-associated virus vector, tested it in cultured
11 cells. It seemed to work fine. And did a couple of preliminary experiments in vivo to
12 show that it worked.

13 (Slide.)

14 And then we did a very simple minded experiment and just took
15 newborn MPS VII animals and these were animals that we identified at birth and
16 injected either on the first or second day of life, and we gave them an intravenous
17 injection of virus.

18 In our first study, which is summarized here in this panel, what we
19 showed is that we can get beta glucuronidase expression in a wide variety of tissues.
20 We have a very sensitive histochemical stain for beta glucuronidase activity and
21 anything that is red is where beta glucuronidase activity is localized. And you can see
22 there are lots of positive cells in heart, neurons of the brain, meninges, retina, liver,
23 and in fact I could add a number of other tissues in here. We saw beta glucuronidase
24 expression in many cell types. This distribution and level of activity was
25 sufficient to virtually eliminate lysosomal storage in many tissues in these animals.

26 So this was our initial finding following intravenous injection of virus
27 at birth but this study was limited in that we only looked at the biochemical and
28 histopathologic consequences of AAV mediated gene therapy and the study was
29 limited to only about four months. So we set up a much larger study and designed it
30 to ask the questions, do we get clinical improvements along with the histopathologic
31 improvements that you can see here and what are the long-term effects of AAV
32 mediated gene therapy.

33 (Slide.)

34 So we identified 59 animals, newborn MPS VII animals, and injected
35 them with the same thing. On the first or second day of life with a dose of
36 approximately 1×10^8 infectious units per mouse. A newborn mouse weighs
37 approximately a gram. And then put those animals on the shelf and certain animals
38 we sacrificed at different times to look at the biochemical and histopathologic
39 consequences of this therapy and let some animals go long-term. Other animals we
40 also measured clinical parameters.

41 (Slide.)

42 And this slide really nice represents the clinical response that we saw.
43 This is a normal animal here. This is an uninjected MPS VII animal and this is an
44 MPS VII animal that received a single injection of virus the day it was born. Clearly
45 the phenotype is dramatically improved. But when we also measured functional
46 parameters such as electroretinograms, auditory evoked brain stem responses, body
47 weight, all these parameters that we can measure, these animals are significantly
48 improved in all of those categories. So from an efficacy point of view, the AAV
49 mediated gene therapy worked very, very well with respect to the disease, the MPS

1 VII.

2 (Slide.)

3 So as the study progressed, we looked at the expression level of beta
4 glucuronidase and very much like what everyone else has seen with AAV, after an
5 initial period where there was either increasing expression or some variability in
6 expression, the level of expression is very consistent all the way out to about a year-
7 and-a-half in multiple tissues in these animals.

8 So what happened is out here at approximately one year of age we
9 sacrificed three animals for biochemical and histopathologic characterization and
10 discovered that at one year, at least in the animals we sacrificed, there were no gross
11 lesions in the liver or any place that we looked. So we compiled all this data and sent
12 it in for publication, and during the process of review and acceptance, a year-and-a-
13 half time point came up. We sacrificed additional animals and that is when we begin
14 to see the toxicity that we have observed.

15 (Slide.)

16 So at a year-and-a-half we sacrificed -- we had five animals remaining.
17 Five newborn MPS VII animals that were treated with AAV at birth. Five animals
18 were still alive. We sacrificed three at a year-and-a-half and immediately as soon as
19 we opened them up we noticed that there were relatively large tumors on their livers
20 ranging from between one to two centimeters in diameter. So we immediately stopped
21 the study, went out to the mouse colony, got the remaining two treated animals, looked
22 at them, and one out of two of those animals also had lesions on their liver.

23 (Slide.)

24 So at this point, at a year-and-a-half, we had three out of five animals
25 that had hepatic lesions. So what we did then is we retrospectively went back and
26 looked at all the animals that we had salvaged during the longevity study. These are
27 animals that had died spontaneously and we managed to save the carcass before it had
28 time to decompose too badly.

29 When we compile all this data, we see that we also found additional
30 tumors. Interestingly, the very first tumor we discovered was in an animal that we
31 sacrificed at about eight to nine months of age. Now at this point we had already
32 sacrificed over 40 animals and had not seen any evidence of toxicity. So finding one
33 animal at 35 weeks did not upset us too badly at that point but we kept it in the back of
34 our minds.

35 If you remember, at one year of age, we purposely sacrificed a number
36 of other animals and saw no gross lesions. However, on a retrospective examination
37 of some of the animals that died spontaneously, two animals died at approximately
38 one year of age spontaneously as part of the longevity study. Those animals also had
39 tumors. One had a hepatocellular, a low-grade hepatocellular carcinoma. The other
40 animal had an angiosarcoma.

41 So now in the entire study out to a year-and-a-half we have at least six
42 animals that had some sort of cancerous lesion. Again the primary lesion is
43 hepatocellular carcinoma. However, we also did see angiosarcomas in two cases.

44 (Slide.)

45 So to try to better understand this -- well, before I actually go into what
46 we have done since then, I would like to show some of the histopathology of these
47 tumors and I will freely admit right now I am not a histopathologist and if I get some
48 of the jargon incorrect, I apologize.

49 But this is a section -- I know this is probably difficult to see in the

1 back but this is a section of one of the tumors from one of the hepatocellular
2 carcinomas. Basically what you can see if you were a trained pathologist, you can see
3 that the hepatocytes are very dysplastic. Meaning that they vary greatly in size. Many
4 of them have multiple nuclei. And we have a very high fraction of cells that are
5 undergoing mitosis. In fact, in this particular slide we see two cells right there that are
6 in the process of undergoing mitosis. And in every single animal that we
7 examined that had a liver tumor, the histopathologic findings were identical in all five
8 animals.

9 (Slide.)

10 Now with respect to the angiosarcomas, this is a section of spleen from
11 one of the animals that had an angiosarcoma. The primary lesion was in the uterus but
12 this is a metastases that is in the spleen. And the salient features here are that the
13 tumor is here and basically what you see are these pools or pockets of erythrocytes,
14 which are characteristic of angiosarcomas. And on higher magnification examination
15 of these tumors, they look very characteristically like angiosarcomas. And remember
16 two animals had angiosarcomas.

17 (Slide.)

18 So in an attempt to try to understand what the mechanism is, if you just
19 look at this data and think about it for a while, you can generate a large number of
20 hypotheses that could explain the formation of tumors in these animals. One of which
21 is simply that the MPS VII animals are predisposed to carcinogenesis or
22 tumorigenesis. Unfortunately, these animals do not live long enough. At least fifty
23 percent of the animals are dead by six months and no untreated animal has ever lived
24 beyond a year.

25 And given the timing that we saw these tumors, these animals -- these
26 untreated animals really do not live long enough to see these tumors and, in fact, over
27 all the years that we have looked at these animals we have never discovered a hepatic
28 tumor in one of the untreated mice.

29 (Slide.)

30 So what we have done is we have looked at a number of different
31 animals that either we had or that were provided to us for examination. And what I
32 will tell you right up front is although we are looking at a number of animals here,
33 there are not merely enough animals in any one of these groups to really make any
34 definitive statements about the mechanism of tumorigenesis or the lack of
35 tumorigenesis in any of these animals but this is what we had on hand and I will
36 present it as such.

37 These are the animals which I have already presented. Animals that
38 had -- from AAV treated animals that had hepatocellular carcinomas or
39 angiosarcomas.

40 This group right here, these two groups actually, represent MPS VII
41 animals that received a bone marrow transplant on the day they were born. These
42 animals were provided to us by Jane Barker up at the Jackson Lab. Unfortunately, she
43 is snowed in up in Bar Harbor and she could not be here today but she graciously
44 provided those animals to us for analyses.

45 There are 24 animals in this cohort. All of these animals went beyond
46 one year and six out of the 24 simply got bone marrow cells the day they were born
47 with no radiation, no ablation. Eighteen of those animals received 100 rads or
48 sublethal radiation the day they were born followed by the same injection of bone
49 marrow cells. And in all of those animals the interesting finding was that a high

1 percentage of them developed cystic ovaries, which it is not exactly clear why that is
2 at this point, and actually this -- we also saw one animal with a lung tumor. This
3 number two here. One of the tumors was accidentally identified as a tumor on gross
4 necropsy. It turned out to be a pneumonia.

5 So of these 24 animals well beyond a year that received bone marrow
6 transplantation at birth, only one animal had a tumor and that was a lung tumor. No
7 hepatocellular carcinomas or angiosarcomas for that matter.

8 Now we also a few years ago generated a transgenic animal and this
9 transgenic animal actually serves as a pretty good control because we purposely made
10 this animal that harbors the exact same expression cassette as our AAV vector. The
11 only difference is it does not have the ITRs on it. So it is the CMV enhance, beta
12 actin promotor, human beta glucuronidase. And we also made this transgenic on an
13 inbred background so instead of doing the typical F1 hybrids, we did it on this same
14 inbred strain so that we could do transplantations without interfering with any
15 immunologic barriers. So this actually serves as a pretty good control just for over
16 expression of beta glucuronidase.

17 Well, we did not have a lot of these animals on the shelf because we
18 did not -- this was an unexpected finding but we did have six animals out in our mouse
19 room that ranged in age from 48 to 60 weeks of age. We sacrificed those animals and
20 we found no gross lesions in those animals anywhere. No hepatocellular carcinomas.
21 No angiosarcomas.

22 And, also, as part of the longevity study, from the AAV gene therapy
23 experiment we had eight uninjected normal siblings that were carried along for the
24 longevity study just to fill out that curve. We sacrificed those at about a year-and-a-
25 half. None of those animals had any obvious lesions either.

26 So these data would suggest, again very small numbers but at least it
27 would suggest that there is not a really high incidence of tumors in any of these treated
28 animals with the exception of the AAV treated animals.

29 (Slide.)

30 Now as Terry mentioned, Terry had developed a real time PCR assay
31 for our particular expression cassette. Not beta glucuronidase but the -- I believe it is
32 a CMV enhancer beta actin promoter region, which is obviously unique to that
33 expression cassette.

34 So in a blinded fashion we sent him DNA samples either from the
35 tumors from these animals or from normal looking liver tissue from the same animal.
36 Okay. So these are animals that had tumors. Normal looking liver tissue and portions
37 of the tumor. And, as Terry mentioned, we basically get a mixed bag of results here.

38 These last two animals -- these two animals represent MPS VII animals
39 that were injected with AAV at birth but had no tumors at a year-and-a-half and we
40 just examined their livers for the presence of the AAV genome. Interestingly, we saw
41 genome there. Well, actually not unexpectedly. We expected to see the genome there.
42 But, interestingly, these other animals had the tumors. This is the animal from 35
43 weeks and this is a little confusing because there is apparently no AAV genome or no
44 detectable genome in either the tumor or the normal looking liver, although we still
45 saw expression in this animal so this is somewhat confusing.

46 However, these three animals, you can see that in at least two of them
47 we saw evidence of the AAV encoded genome in the tumor and in the normal portion
48 of the liver. Now the amount of genome in the tumor exceeds that -- exceeds what
49 you see in the normal portion. However, you see this other animal here which also

1 had a tumor. There is no evidence of AAV genome in that tumor but there is genome
2 in the normal section of liver.

3 Interestingly, these two animals where you get a value of .1 AAV
4 copies per cell and approximately .08 copies per cell, these are the two animals that
5 Mark Kay presented this morning and this is consistent with his data showing that this
6 animal had about .1 copies and this animal had about .05 copies by his Southern
7 analysis. So there is certainly concordance here.

8 But again you see some tumors that have no evidence of AAV gene in
9 them so I think, although not completely definitive, what this at least would suggest is
10 that the tumorigenesis we have seen in these animals cannot be explained by a very
11 simple model whereby you get an integration of that and then a clonal expansion of
12 that transformed cell because in that particular case what you would predict is that
13 your numbers here would be one or greater. This does not formally exclude AAV as a
14 causative agent but again at least for a very simple model of integration and then
15 transformation these data, as well as Mark's data, are not consistent with that.

16 (Slide.)

17 So it was suggested to us that perhaps the hepatic tumors were due to
18 an increased hepatocyte replication due to the disease. So to try to address that issue
19 we examined at least three animals from three different groups. One group would be
20 untreated MPS VII animals, another group was untreated normal animals of the same
21 strain, and the third group were animals from our transgenic colony. Again the same
22 strain of mouse and the same expression cassette that is in our AAV cassette. So we
23 examined three animals from each of those groups.

24 And the first thing we discovered is that when you look at the livers by
25 H&E staining you see these occasional aggregates of what appear to be lymphocytes,
26 at least by H&E staining. This is a consistent finding in all three groups. All three
27 mice in all three groups have about the same frequency of these aggregates of cells
28 that look like lymphocytes.

29 The only difference we discovered was that -- well, also before I get
30 into the difference, also when we counted the number of replicating hepatocytes there
31 was no difference between the normals, mutants or transgenic animals with respect to
32 replicating hepatocytes. The only difference we discovered was that in the untreated
33 mutant animals these aggregates of cells that appear to be lymphocytes you would find
34 an occasional aggregate where you see BRDU staining, suggesting that there is DNA
35 synthesis and replication going on.

36 We do not understand the significance of this finding and there is not a
37 lot of these in the liver but it is a difference between those three groups. And, again,
38 we do not understand the significance of it right now but so far that is the only
39 difference we have been able to uncover.

40 (Slide.)

41 Now, finally, what I would like to do is put this study in perspective
42 and put it in the context of the other studies that we have performed, we and other
43 groups have performed over the last 12 years. And this is a compilation of some of
44 those studies, starting all the way back from 1992 up to some rather recent studies,
45 involving different forms of therapy in MPS VII mice.

46 And what you can see is that there is a relatively large number of
47 animals that we have examined over the years with various types of therapy, either
48 bone marrow transplantation initiated in adults or bone marrow transplantation
49 initiated in newborns, or direct enzyme replacement in newborns or a combination of

1 enzyme replacement and bone marrow transplantation.

2 There is a fairly large number of animals here and even animals that go
3 beyond 32 weeks of age, there is at least -- what is that -- 27 animals that are relatively
4 long-term. And in all of those cases, with one notable exception, there were no gross
5 lesions observed in any of those animals.

6 This one exception was in an animal that received 600 rads of
7 radiation. It was a young adult animal who received 600 rads of radiation and bone
8 marrow transplantation. And at about 298 days of age -- I think that is right -- or 28
9 weeks -- this animal developed a subcutaneous keratoacanthoma. Okay. But still in
10 none of these animals have we ever observed any hepatocellular carcinomas or
11 angiosarcomas.

12 So the data are still confusing to us and we have still no evidence that
13 would suggest a mechanism for the tumorigenesis here. We have no evidence that
14 would suggest that AAV is the causative agent. We have no evidence yet that the
15 disease -- that these animals are predisposed to tumors either so we are still confused.

16 And I think with that I will end and take any questions.

17 (Applause.)

18 DR. BREAKFIELD: Could we have the lights back on?

19 DR. CRAWFORD: The fact that much of your work has been done
20 with bone marrow transplantation models raises an intriguing possibility and an aspect
21 of research that is actually moving forward very rapidly, and that is that the bone
22 marrow cells are actually repopulating the liver in that model.

23 And in work that Marcus Grompy has performed with the FAA mouse
24 he has been able to show over 70 percent reconstitution of the liver. And again this is
25 as much of a question as anything else, to the extent that the virus may transduce five
26 percent of the cells, whereas a bone marrow transplant model might reconstitute most
27 of the liver over a 12 to 18 month period, one can argue or at least posit that residual
28 hepatocytes which might be clonogenic are more abundant in the vector model than
29 they are in the bone marrow transplant model.

30 It is, in essence, bringing together the stem cell transfer to the liver
31 field of research and the vector field of research and raising the posit that residual
32 hepatocytes may be more abundant in the vector model.

33 DR. SANDS: I am not sure I understand.

34 DR. CRAWFORD: Well, the --

35 DR. SANDS: What I can tell you is that in the bone marrow
36 transplantation experiments that we have performed -- I am familiar with all the data
37 on the plasticity of hematopoietic stem cells and all this sort of stuff -- we have never
38 really seen much evidence that following a bone marrow transplantation either in
39 adults or in newborns that we actually get a significant number of donor-derived
40 hepatocytes in those animals.

41 DR. CRAWFORD: Okay. That is the question.

42 DR. SANDS: We see almost complete replacement of the kupffer cells
43 but we have never seen any real evidence of hepatocyte reconstitution. I am not sure
44 that gets at your --

45 DR. CRAWFORD: Well, it gets to the question of whether you have,
46 if you will, deficient hepatocytes which remain, which may, in fact, be the source of
47 your tumors.

48 DR. SANDS: Right. So I honestly believe in our bone marrow
49 transplantation experiments the hepatocytes that are in those animals, vector bone

1 marrow transplant, are MPS VII derived hepatocytes.

2 Now they do not have a lot of enzyme activity. With our histochemical
3 stain you can section the livers, there is very little enzyme activity in those cells,
4 which is different from our AAV experiments. The hepatocytes have an enormous
5 amount of enzyme activity in them. But, also, in the bone marrow transplantation
6 experiments there is also no evidence of lysosomal storage in the hepatocytes either so
7 the amount of expression we get in the kupffer cells that are repopulated in the liver is
8 more than sufficient to eliminate any lysosomal storage in the hepatocytes.

9 So I think they are still from MPS VII mice but they do not have the
10 hallmark of the disease. I am not saying they are perfectly healthy but they do not
11 have the -- you know, the microscopic lysosomal distension that is characteristic of
12 this disease.

13 DR. MUZYCZKA: Mark, you mentioned the real time PCR data. Did
14 you also do some Southern's?

15 DR. SANDS: Yes.

16 DR. MUZYCZKA: And a corollary to that is did you actually ask
17 whether the tumor, which presumably is clonal, whether they were -- those
18 hepatocytes were expressing the gene?

19 DR. SANDS: To answer your first question, we also did Southern
20 blots very much like what Mark Kay did and we used a single cutter and a no cutter,
21 and our Southern blots are not quite as sensitive as Mark's but in all the tumors that we
22 looked at, very consistent with Mark's data, we had one cutter and no cutter, we saw
23 no evidence of integration of AAV.

24 And what was your second question? I am sorry.

25 DR. MUZYCZKA: Was beta gluc expressed?

26 DR. SANDS: Yes. We actually have not done RT/PCR on the tumors.
27 The only evidence I have to address that question is we took one of the tumors -- and,
28 again, you have to understand this took us by surprise so we really were not prepared
29 to analyze these in the best way possible.

30 We took one of those tumors, embedded it and sectioned it, and did our
31 histochemical stain on that sectioned tumor. And what we saw was that the smaller
32 cells, which look like maybe kupffer cells or some sort of endothelial type cell, had a
33 lot of enzyme activity in them, which could be simply from uptake from the serum.
34 There is an enormous amount of activity in the serum and that activity is taken up
35 preferentially by the kupffer cells or endothelial cells.

36 We also saw enzyme activity in the hepatocytes but not at the same
37 level that I would expect if it were actually transduced and expressing the vector. So I
38 cannot give you a definitive answer but it was not this tumor that was just basically
39 pumping out a bucket load of beta glucuronidase. It was not that case at all.

40 DR. BREAKFIELD: I think we will go back and forth between the
41 table and the audience, and if you could just identify yourself?

42 DR. _____: I think the characterization of the tumors is quite
43 well done but how well characterized is the vector prep? It is a trivial question but
44 how do you know --

45 DR. SANDS: It is not a trivial question. Actually I feel very fortunate
46 in this particular case. This was such a large study that my relatively small lab -- it
47 would have taken us months to make enough virus to do this study so we actually
48 contracted out to Nick to make these viral preps and these preps were made by
49 cotransfection of the transfer vector and PDG, which I am sure you are familiar with

1 PDG from Miriam Kleinschmidt's work. It was done by a cotransfection method. It
2 was also purified by aldixonal gradient centrifugation and heparin agarose
3 chromatography.

4 And then it was characterized at least enough to say that there was very
5 little, almost undetectable levels of wild type AAV, and there has never been any
6 adenovirus detected with this production system. So it was good virus. In fact, in my
7 opinion it was much better virus than what we used for our initial studies.

8 DR. _____: Yes. But that is only looking at certain biological
9 criteria and the protein profiles. There are other things that obviously are biological
10 effects which would --

11 DR. SANDS: Sure.

12 DR. _____: -- not necessarily come up with these assays and
13 also the other animal data that were presented earlier were presumably from different -
14 - perhaps used different protocols for the processing.

15 DR. SANDS: Well, I believe, and Terry can correct me if I am wrong,
16 I think Terry's virus with all the animal data that he showed --

17 DR. _____: Right.

18 DR. SANDS: -- the viruses were made in exactly the same way, I
19 think.

20 DR. FLOTTE: Yes, that is true of all the liver -- the liver -- you know,
21 the lung data was older with other methods but the liver data.

22 DR. SANDS: So in that respect our data is comparable to what Terry
23 presented at least in the way the preps were produced.

24 DR. BREAKFIELD: I think later in the panel discussion the issues of
25 vector production are very important to evaluate across, and we are going to go back
26 and forth so, I guess --

27 DR. KAY: I had a question about the issue that I was surprised by the
28 real time PCR that there was so little vector in the liver and the question is whether
29 you could be getting gene expression outside. I mean, Karen Gessler's lab shows in
30 neonatal mice when you inject AAV that you get the peritoneum lighting up.

31 But as a corollary to that, I guess, my question is if you ever assumed
32 that there is a very small percentage of hepatocytes that are transduced, taking the
33 AAV transduced livers, measure total enzyme activity in the liver, compare it to that
34 of a transgenic, and work back and say how much enzyme per cell is being made. I
35 know it is not a perfect assay to see whether it is in the same ball park as --

36 DR. SANDS: No, we have actually never quantified that in that way
37 but that would be -- that would be a good approach because our histochemical stains
38 are not quantitated. When you see a red cell it is not quantitated so I do not know the
39 answer.

40 DR. KAY: And one other just corollary in regard to the Southern and
41 the PCR data. Do you know what -- roughly what proportion of the cells in the HCC
42 appear to be hepatocyte derived because it is an important issue for clonal integration
43 events if they are diluted out with lots of other cell types that --

44 DR. SANDS: The tumors appear to be primarily hepatocytes. Now
45 when you look through you can see occasional lymphocytes and you certainly see
46 things that look like kupffer cells or endothelial cells but they are primarily -- the vast
47 majority of cells are hepatocytes. Dysmorphic hepatocytes but clearly hepatocytes.

48 DR. BREAKFIELD: I guess we will go to the audience. Louise?

49 DR. MARKERT: Louise Markert, Duke University and a RAC

1 member.

2 One hypothesis you have put forward is that there is an increased
3 incidence of tumors in the deficient mice and so much has happened in immunology
4 just in the last few years in terms of ability to assess the immunology in so much more
5 detail compared to previous years, what with immunoscopes, flow based cytokine
6 analysis, TRECs, looking at naive cells more, aside from the NK function and T cell
7 function antibodies, and responses to neo antigens.

8 What is known in the human situation or has there been a relook at that
9 population when they are born to see can -- with all the new techniques available are
10 there some immune deficiencies in that animal or in the human that, although may be
11 subtle, may lead to an increased incidence of tumors. If the patients were to live long
12 enough certainly there are millions of immune deficiencies where tumors are very
13 prevalent.

14 DR. SANDS: Right. That is actually a very interesting question and it
15 was last year we actually published a paper where we described an immunologic
16 defect in the MPS VII mouse and when we went back and did a literature search trying
17 to look at the human data and what was known from clinical studies, there is really no
18 description in the human literature of an immunologic defect in any of these kids. Not
19 just MPS VII but virtually all of the lysosomal storage diseases.

20 But I think that is more the fact that people had not looked because if
21 you read their clinical reports there is always anecdotal reports about these kids get
22 more, you know, they are more susceptible to pneumonia, more infections. But
23 clearly the MPS VII mouse does have a subtle immunologic defect, which we believe
24 is caused by defective or incorrect antigen processing through the lysosome.

25 Interestingly, though, we showed in that same study that that
26 immunologic defect could be corrected in vivo in whole animal models following
27 enzyme replacement. So it is a relatively subtle immunologic defect and at least by
28 enzyme replacement it can be corrected. Now is it corrected in our AAV treated
29 mice? We actually tried to do that experiment and for technical reasons the
30 experiment just did not work but, unfortunately, you have to sacrifice the mice to do
31 that experiment.

32 So I cannot definitively tell you that the immunologic defect was
33 corrected in those animals but there is this inherent defect. Does it predispose them to
34 tumor formation? It is hard to tell because the animals do not live long enough but
35 that is certainly a possibility.

36 DR. BREAKFIELD: We will take four more questions. Actually
37 Kathy High has been waiting very patiently. I did not even see her there and then we
38 will do Terry and then we will do two over there and that is it.

39 DR. HIGH: Okay. Mark, I just wanted to point out that one of the
40 differences between treating MPS VII with bone marrow transplantation versus with
41 an AAV vector approach is that in the bone marrow transplanted animals the source of
42 beta glucuronidase is principally from circulating hematopoietic cells, some of which
43 eventually become fixed. Whereas in the vector-based approach there will be pockets
44 of transduced cells that make very high concentrations of beta glucuronidase. Do you
45 think that this may have any relationship to what you are seeing?

46 DR. SANDS: It certainly could, Kathy. I mean, the data I presented --
47 the comparisons really are apples and oranges but it is all that we have got to go on
48 right now and the reason I presented all that data is just to really highlight why we
49 were so concerned about this finding because we have just never seen it before.

1 But again, you know, enzyme replacement, when you do those
2 experiments, you have this huge bolus of activity and you have these huge peaks and
3 valleys, which is not what we see with AAV and bone marrow transplantation is
4 different also. They are very different studies. It is hard to draw any -- we cannot
5 draw any real conclusions from it except to say that what we saw in the AAV treated
6 mice is different from anything we have ever seen before.

7 DR. BREAKFIELD: Terry?

8 DR. FLOTTE: The last two questions anticipated mine to some
9 degree, which is really to again bring up this point of nonuniformity of the gene
10 delivery and I thought it might be interesting to -- if you could summarize for us what
11 data you have regarding whether there are whole areas or whole regions of the liver
12 that might perhaps not be -- might not have received the transgenes or whether those
13 might correlate with tumors or vice versa.

14 And then the other issue of nonuniformity is the cell type and it was
15 really -- my thinking in asking that question related again to this antigen processing
16 defect because I would presume that you might more uniformly in bone marrow
17 transplant or also in the transgenic have kupffer cells that are more uniformly
18 expressing the transgene and might be more immune competent.

19 DR. SANDS: Sure. To get to your first question, the only way I can
20 answer that is when we sacrifice the animals and look for expression, for example in
21 the liver, we take sections of liver from different lobes of these animals and section
22 and look for beta glucuronidase activity in situ. So far we have not seen any
23 differences in distribution of enzyme. There does not appear to be any localized over
24 expression except within individual cells and localized over expression or no
25 expression in the liver. It does not matter what lobe we take, it looks pretty uniform.

26 With respect to your last question, it is certainly a possibility that since
27 we are transducing -- and it appears as though we are transducing primarily
28 hepatocytes and, in fact, the kupffer cells being, if you will, perhaps antigen presenting
29 cells or some sort of immune type cell that perhaps there are some that escape
30 correction or whatever.

31 Now the only thing I have to go -- the only way I can answer that
32 question for you is by histopathologic evidence. When we look at sections from
33 different parts of these livers we do not see any disease in any of the kupffer cells.
34 That is not to say that a few of them do not escape correction. Again correction is
35 measured here by the presence or absence of distended lysosomes. That does not
36 necessarily mean that they are fully corrected.

37 There may be molecular defects there that we just cannot detect which
38 may predispose them to tumors. I do not know that but at least by the criteria that we
39 can measure there does not appear to be any evidence of disease in any of the immune
40 type cells.

41 DR. BREAKFIELD: I think we can only take two more questions
42 from the floor and then we will -- hopefully in the discussion later we will --

43 DR. FRIEDMANN: Ted Friedmann. I am a member of the RAC. I
44 have two questions.

45 One, did you say that one of the angiosarcomas was uterine in origin?

46 DR. SANDS: Yes.

47 DR. FRIEDMANN: Where was the other one?

48 DR. SANDS: The other one was actually sitting right at the base of the
49 brain. It was not attached to the brain.

1 DR. FRIEDMANN: So you cannot infer anything from the origin of
2 the angiosarcomas?

3 DR. SANDS: No, not really and again there were only two animals.
4 But very different locations.

5 DR. FRIEDMANN: Yes. Number two, your quantification or the
6 general studies quantitating the number of AAV genomes in tumors were in normal
7 tissue. How -- do they depend on the assumption that the entire AAV genome is
8 present or are you assuming also that small fragments of AAV can be integrated?

9 DR. SANDS: Well, first of all, the real time PCR data says nothing
10 about integration but this -- the real time PCR, the PCR product is only from the
11 promoter region -- the enhancer and promoter region of the cassette. We have no idea
12 of what the structure of the genome is. It is just quantifying that small piece of the
13 genome. It could be rearranged. It could be autosomal. It could be integrated. Who
14 knows what it is.

15 DR. _____: Mark, did you say whether or not you did or could
16 you treat non-MPS VII mice with the same preparation of AAV?

17 DR. SANDS: We did not. The reason we did not was strictly expense.
18 At the time we did this there was a lot of animals and a lot of virus and it is expensive.
19 So, you know, that would have been the best experiment and we wanted to do that but
20 just for, you know, financial reasons or logistics we did not do that.

21 DR. _____: Does the preparation still exist?

22 DR. SANDS: No, it does not.

23 DR. BREAKFIELD: Thank you very much, Mark. That was
24 wonderful. I wanted to get a lot of questions. I think that is where most of the
25 questions are in your data and how to interpret it.

26 So we are about an hour behind overall so I am hoping that the next
27 speakers will be, you know, very succinct in presenting their information so we have
28 as much time as possible for discussion later.

29 The next speaker is William Sly, who is one of the experts on
30 lysosomal storage diseases.

31 NATURAL HISTORY OF MPS VII DISEASE IN MICE

32 WILLIAM SLY, M.D.

33 ST. LOUISE UNIVERSITY SCHOOL OF MEDICINE

34 DR. SLY: Thank you for inviting me. My interests are somewhat
35 tangential. I think we could maybe have those lights down and I could have that first
36 slide.

37 (Slide.)

38 Thank you. As we said at the outset, every patient counts and this is
39 the first patient with beta glucuronidase deficiency who was the prototype for the
40 disease called MPS VII.

41 (Slide.)

42 This is Clifford at three years later. I do not show you many patients
43 with this disease because the disease is relatively rare.

44 (Slide.)

45 This is the patient at 11 years of age and we followed him for -- we
46 followed him until age 20 when he died suddenly of cardiorespiratory defect.

47 (Slide.)

48 Now the clinical features of MPS have been summarized by Mark. I
49 will not dwell on them. Short stature, bony disease, coarse facies, big liver and spleen,

1 mental retardation, corneal opacities.

2 (Slide.)

3 Deficiency of beta glucuronidase is present in all cells and body fluids.
4 There is enormous intralysosomal accumulation of gags, secondary elevations of other
5 acid hydrolases, which turns out to be a good way to study them to study response.
6 Excess excretion of urinary gags and abnormal granulocytes and leukocytes.

7 (Slide.)

8 Now as Mark said, the mouse turns out to be a perfect model for this
9 disease. This patient has the intermediate form of this disease. There are more severe
10 patients but the mouse has all the features that are shown by the patients and, in
11 particular, the one we want to focus on is the life span. These patients -- the mouse --
12 the patients actually are quite variable. This patient, as I said, has the intermediate
13 form of the disease. The most severe form of the disease presents as neonatal hydrops
14 and the children actually die before birth. That is probably the commonest form of the
15 disease.

16 The mouse with MPS VII typically has a shortened life span. It
17 becomes deaf. It becomes blind. It eventually stops eating. The life span is about
18 five months in the average. Ninety percent of them are dead by eight months and none
19 of them live to be a year.

20 (Slide.)

21 The person who discovered this was Ed Birkenmeier who described it
22 in the mid-1980s and tragically himself died of a brain tumor about a decade later.

23 (Slide.)

24 This was the original Birkenmeier mouse at 34 years of age or one of
25 Ed's original mice showing you the dramatic shortening stature in its 34-year old or
26 34-week old sibling.

27 (Slide.)

28 So the first experiment that we did with Ed at that time when this was
29 discovered was to see whether the human gene transgene could fully correct all the
30 features of this disease because we were not sure at that time that all the features of the
31 mouse disease were due to that deficiency and so we made a transgene by injecting the
32 entire human -- we made a transgenic animal by injecting the entire 22 kb human
33 transgene, bred that on to the MPS VII background, the heterozygote produced about
34 10 times normal levels of beta glucuronidase and the homozygote 20 times, and that
35 mouse was absolutely normal, lived a normal life span, and was normal in every way
36 that we could tell so we concluded that every feature of this animal's disease was
37 related to the deficiency of beta glucuronidase and that the human gene could
38 adequately correct it.

39 (Slide.)

40 Now since then many, many people have found this an attractive model
41 for therapeutic strategies and there have been bone marrow transplant, enzyme
42 replacement and gene therapy. I want to just focus on a couple of things in reference
43 to this and this is a little bit redundant with what Mark said.

44 (Slide.)

45 We did a bone marrow transplantation study with Birkenmeier and
46 Mark, a two year study. It was -- the efficacy was dramatic in that the life span was
47 increased threefold, approaching that seen in normal mice. The spleen, liver, cornea,
48 many things were corrected. The brain was not corrected, although the meninges and
49 perivascular cells were corrected.

1 (Slide.)

2 Now the important thing I just want to focus on here, which is the
3 effect on survival, this is the survival curve of the untreated mouse. This is the
4 survival curve of the normal strain. The green line is the irradiated control mice and it
5 shows the life shortening associated with irradiation and the lengthening here shows
6 the improvement in life span associated with bone marrow transplant.

7 Now the important thing here is that these mice live to be -- as long as
8 700 days and in this total experiment there were 30 mice that were irradiated. There
9 were four tumors in the irradiated group only. Three of them were in the controls and
10 one of them was the tumor Mark mentioned in the MPS VII. So the MPS VII mouse
11 at least in this experiment was certainly no more sensitive to tumors than the controls.

12 Subsequently we focused on enzyme replacement therapy and there
13 were a series of experiments. We did neonatal enzyme replacement therapy and we
14 showed that it could dramatically effect the course of the disease, particularly if it was
15 started in the newborn. One could even get into brain. For the purposes of this
16 conference what is more important is we did a set of experiments where we treated in
17 the neonatal period for six weeks and then we stopped and then we studied how long
18 the beneficial effect of that treatment would last and I think I have that here.

19 (Slide.)

20 And what you see here, this is the life span of the untreated mice.
21 These are normal mice still living well beyond a year. Only one of those mice died in
22 the course of that. All of the mice were sacrificed at the end of this period and none of
23 these mice had any evidence of tumor. These were enzyme treated animals only in the
24 first six weeks of life. Their life was prolonged by that exposure to enzyme just
25 during the first six weeks of life to more than a year and none of them had any tumor
26 at that point.

27 (Slide.)

28 Now I want to just tell you a tale of two different transgenes that are
29 relevant, tangentially relevant to the theme of this conference. The first was a
30 transgene that we made in order to make a tolerant mouse. Now you would say why is
31 that important. Well, almost everybody that is doing enzyme replacement therapy and
32 particularly gene therapy, everybody I know that tried to do gene therapy on this
33 mouse, has used the human gene construct. Is that important? Okay.

34 So we wanted to find out if it was important and so we developed a
35 tolerant mouse and first we found that the active site was E540. We identified the
36 active site of the mutant. We made an inactive transgene and then we established that
37 inactive transgene on a mouse -- on a B/6 background and then we crossed it on to the
38 MPS VII background and we demonstrated that this mouse still had MPS VII. This
39 human enzyme had no effect on the phenotype but we wanted to test the hypothesis
40 that it would confer tolerance and whether tolerance is important.

41 (Slide.)

42 Now in order to make this we used a relatively weak promoter, the
43 PGK promoter driving the human beta glucuronidase cDNA. We made the transgene
44 and the mouse that was produced, the transgenic mice, now which is transgenic for a
45 dead human enzyme, looks just like the MPS VII mouse that we started with. It has
46 the skeletal features that are no different than the MPS -- typical MPS VII mouse.
47 This is a control.

48 (Slide.)

49 It has all the lysosomal storage and here we have just a variety of

1 things to show you. The cornea, the retinal pigmented epithelial cells, the bones, the
2 liver and the kidney.

3 (Slide.)

4 This mouse has MPS VII by all criteria. However, when we
5 challenged this mouse with intraperitoneal injection of human beta glucuronidase it
6 was dramatically different than the control. The control MPS VII mouse has a
7 dramatic, as you can see here, this is an ELISA assay showing that the antibody titer in
8 these four MPS VII mice goes out to 10^5 or 10^6 . So these make a lot of antibody to
9 human beta glucuronidase. A tolerant mouse by contrast did just what we wanted it
10 to. It shows absolutely no response.

11 (Slide.)

12 Now you say who cares. Well, everybody who is treating the MPS VII
13 mouse with the human transgene is, in fact, doing this kind of experiment and those
14 mice are undoubtedly making a lot of antihuman beta glucuronidase antibody. And
15 one has to ask themselves is this or is this not relevant to production of tumors or other
16 side effects or the persistence if you are looking at persistence of expression or so on.

17 Or the question I raised to Mark, could, in fact, these antibodies select
18 against retention of the expressing cells in the liver tumor and lead to negative results?

19 (Slide.)

20 Okay. So that is just -- I am now going to tell you the tale of another
21 transgene.

22 We made another transgene and we used in this case -- well, I will skip
23 that. The transgene that we wanted to make here was one that would express as much
24 beta glucuronidase as we could possibly express. We were interested since everybody
25 was doing -- interested in gene therapy, is how serious is over expression of beta
26 glucuronidase.

27 (Slide.)

28 So in order to do this we used the transgene and this transgenic vector
29 here is the same one that Mark used. Mark got it from us and we got it from Mia Saki.
30 It was developed from Mia Saki as CMV beta actin globin intron and promoter, and I
31 think it is SV40 but I am not sure, poly A. But anyway Mia Saki developed it for
32 massive over production of secretory products in cultured cells. And we use it for that
33 to make huge amounts of beta glucuronidase.

34 But in this case we made a transgene with just this construct and we
35 had about ten founders and we found that they varied in their level of expression from
36 10 times normal levels in serum to 50 times normal levels to really sky high levels.
37 We were interested in sky high levels so those were the only animals that were kept.

38 (Slide.)

39 Now I want to show you our massive levels of over expression. So
40 these are serum levels of beta glucuronidase that one might find if you look at serum,
41 heart, muscle and so on. These are the full increases that we see in our massive over
42 expressors. Here this is not 2,000 units. This is now 2,000 times normal levels. 1,900
43 times levels. Heart, 8,337 times normal levels, 953. So these are two different levels.
44 These are just two different counter lines showing these massive levels of over
45 production of beta glucuronidase.

46 (Slide.)

47 Now we were kind of interested in whether -- what would be the
48 pathology of these and they turn out to have not something you are interested in
49 particularly but they have a beta glucuronidase storage disease. They make so much

1 beta glucuronidase that they make beta glucuronidase crystals which you can see in
2 heart here.

3 (Slide.)

4 And in kidney we could actually see crystalline structures in renal
5 tubules.

6 Now why is that relevant to this conference? Well, we noticed that as
7 we grew those tumors that one of these tumor lines -- I mean, excuse me, as we grew
8 these mice that one of these tumor -- one of these cells -- strains rather, developed
9 tumors.

10 Now we were not studying tumor biology and we were not very
11 interested in that so we put those aside and we put those aside and we focused on the
12 other one. Interestingly, the one that looked like it was beginning to develop tumors
13 developed -- was the lower level of those two producers, not the one that produced the
14 higher. We were interested in the highest level producer so we focused on that one.

15 But prior to this conference I went back and I looked at the autopsy of
16 animals that we had seen over the course of the -- over the course of time and --

17 (Slide.)

18 -- the tumor strain -- the tumor bearing strain, which we called WE18,
19 the second one, all nine of the animals that died under our care had tumors as it turned
20 out when they were autopsied. One had a cerebellar meningioma at four months. The
21 other eight were autopsied between 15 and 23 months. Six of the eight had one or
22 more mammary adenocarcinomas. Four of the eight had pituitary adenomas. Two of
23 the eight had adenoma carcinomas of the Zymbal gland. And the Zymbal gland I
24 learned since that pathology report is a small gland that is peculiar to rodents at the
25 base of the ear and one of them had bilateral pheochromocytomas.

26 The WE strain -- now we did not -- we autopsied a number of those
27 along the way. I only have one of those that was autopsied as late as 24 months and it
28 had no tumor.

29 So when I heard that there were tumors that developed in the AAV
30 treated animals, I -- can we have the lights, please, now? -- I just assumed that they
31 were seeing something like we were seeing in our one transgenic line and it was
32 probably integration site dependent.

33 Here we made ten trans -- I think we had ten -- eight or ten founders. I
34 cannot remember the exact number. But of those, one of those was a massive over
35 producer of beta glucuronidase and it develops tumor in almost every animal that lives
36 two years. I think -- personally I have assumed that that is because it integrates
37 because it is this peculiar combination of beta actin and CMV promoter, which as you
38 can see can produce enormous levels of over production of the enzyme, and that may
39 or may not be related to the AAV phenomenon but I think it is interesting.

40 (Applause.)

41 DR. BREAKFIELD: Okay. We are only going to take two
42 questions. You will have to fight over them. Mark was up first.

43 DR. KAY: Randy and I were discussing the actual fold elevation in the
44 liver was actually quite low. It was only seven-fold and with AAV in a transduced
45 cell I would expect you would get more.

46 DR. SLY: Yes.

47 DR. KAY: So my question is in the --

48 DR. SLY: Interesting. Let me just amplify on that. When -- this is not
49 unique to us but when these animals were studied early, the expression in liver was

1 quite a bit higher. It was fairly dramatic and then as has been observed with the CMV
2 enhancer in other studies it dropped off. It is still at seven-fold now.

3 DR. KAY: So I guess my question is, is there any correlation with the
4 tissues that you see the tumor and the fold elevation and enzyme production?

5 DR. SKY: Unfortunately, we do not have that data because we were
6 not studying that at this time and we just went back and found these. We can do that
7 prospectively but we do not have it. We have no data on breast, which is where most
8 of these -- many of these tumors were found.

9 Yes?

10 DR. CRAWFORD: Coming at the question from a slightly different
11 angle, on the one hand the bone marrow transplant correction is primarily that of fixed
12 macrophages, whereas over expression such as you are discussing is in the epithelial
13 and parenchymal cells of these target organs. Do you have any insights in
14 tumorigenesis or, you know, different disease model between these sites of
15 expression?

16 DR. SLY: No, I really do not. I think, though, that -- I do not have any
17 on that but I should anticipate Randy's thing a little bit and say remember what I
18 showed you was that the largest level of over expression did not develop tumor. So I
19 do not think it is over expression of beta glucuronidase competing for the Man-6-P
20 receptor that is responsible for this. I think it is probably another explanation. I do not
21 have any other thoughts on mechanism.

22 DR. BREAKFIELD: Thank you. I think we will move on -- we are
23 hoping for a lot more discussion later but we are trying to keep the discussion to the
24 roundtable discussion. So we are going to have another interesting talk now by Randy
25 Jirtle and the last talk was fascinating and very succinct so, hopefully, we will see
26 another repeat of that.

27 DR. JIRTLE: This is going to be fascinating but not --

28 DR. BREAKFIELD: I have the opposite.

29 B-GLUCURONIDASE BINDING TO THE MAN-6-P RECEPTOR

30 AND EFFECTS ON IGF II BINDING

31 RANDY JIRTLE, Ph.D.

32 DUKE UNIVERSITY MEDICAL CENTER

33 DR. JIRTLE: When I was reading over the brochure this morning
34 about what was going to be presented, Bob Maronpot, you are going to have to
35 tolerate this story one more time, but it was like deja vu all over again. Ten years ago
36 Bob and I were at an ILSI conference and it was entitled "Mouse Liver Tumors
37 Symposium."

38 And we broke at lunch and on the way on up -- I had to go up to my
39 room, I went into the elevator. An average Mr. and Mrs. American Citizen got on the
40 elevator with me and usually -- I am sure I am like most of you, I went to the back of
41 the elevator and they were in the front, and I always look at the numbers trying to
42 think about how long I am going to have to stay in this place because I do not like
43 being in tight places with people.

44 And I felt this stare and the guy was looking right here at my name tag.
45 And he looked at it and he had this incredible disbelieving look on his face. He
46 looked down at me and he looked down at him. And he said, "Mouse liver tumors? Is
47 that a problem?"

48 (Laughter.)

49 Now you could imagine we had a whole symposium on this. So I was

1 so taken aback, the only thing I could say, "It is if you are a mouse."

2 (Laughter.)

3 And now I am going to start my lecture.

4 (Slide.)

5 I am going to focus my talk mainly actually on the phenomenon of
6 genomic imprinting and hopefully by the time we get to the end of this you will
7 understand looking at what I call the Man-6 phosphate IGF II receptor how this links
8 together with what we are talking about today.

9 (Slide.)

10 Now if any of you have watched "Walking with Dinosaurs" or
11 "Jurassic Park," you think that the biggest competition that occurred back in the
12 Jurassic era was between T-rex and his fellow dinosaurs, which he liked to often have
13 for lunch but I want to point out from our standpoint actually the biggest battle was
14 occurring in these very, very small rodent-like sort of probably marsupial animals and
15 it was a genetic battle between the sexes that ultimately gave rise to imprinted genes.

16 (Slide.)

17 Now imprinted genes are very interesting. We guesstimate that there is
18 probably about one percent of the genome, which now is around 300 genes, are
19 imprinted. And the definition is "imprinting is an epigenetic chromosomal
20 modification that results in parent of origin, monoallelic expression." So, in other
21 words, even though we inherit one copy of autosomal genes, one from the mother and
22 one from the father, there is a subset of genes in which only one copy is functional and
23 depending on the gene it is either expressed from the mother or from the father.

24 (Slide.)

25 Now these imprinted genes have many significant biological
26 consequences. First of all, many of them are involved in embryogenesis. IGF II is a
27 very potent growth factor. If you knock it out, the animals are very small, about half
28 size. The Man-6-phosphate IGF II receptor is also imprinted as I will show and when
29 you knock this out the animals are very large and it is actually lethal.

30 (Slide.)

31 Interestingly, these genes also give rise to many behavioral
32 developmental diseases. These are pretty clear when you are talking about disorders
33 like Prader Willi Syndrome and Beckwith-Wiedemann Syndrome but also there are
34 very subtle differences. PEG1/MEST and PEG3 when they were knocked out in
35 humans actually gave rise to the phenotype where the mothers abandoned their
36 offspring so they are nurturing genes. These genes now have been found to also be
37 imprinted in humans. Interestingly, the functional copy is not inherited from
38 the mother but, in fact, is inherited from the father. And many of these genes
39 are involved in carcinogenesis.

40 (Slide.)

41 Now the first evidence that imprinted genes existed came from some
42 very fundamental and incredibly interesting, I think, studies that occurred during the
43 mid '80s and that was from McGrath and Solter and Serrani and his colleagues where
44 they were doing interesting transplantation studies where they would put pronuclei
45 back into enucleated cells. If they put male pronucleus in a female back into the egg
46 they would find everything worked fine. If, however, they took two female pronuclei
47 and put in they found that they had a reasonably well developed embryo that was
48 small that had virtually no placenta and the reason why this embryo is very small is it
49 is hard to support embryonic growth with no placenta.

1 Now on the other hand if you take chromosomes or pronuclei that are
2 only from the male you find there is virtually no embryo but, in fact, there is a very
3 large placenta so it is very obvious that there is a functional difference between the
4 maternal and the paternal chromosomes that we inherit and that genomic imprinting is
5 the reason why we do not have carcinogenesis in mammals.

6 (Slide.)

7 Now obviously if any of you remember your genetics, which I do not
8 remember it very well, if you paraphrase Mendel's second law, the parent of origin of
9 an allele should not affect its expression. So, for example, if you look at this example
10 up here, you have a maternal allele and a paternal allele, you put them together and
11 you have a normal developing embryo. This X shows that this allele or gene here is
12 inactivated by some point mutation or deletion but since the one that is inherited from
13 the father is still expressed, you have a normal functioning embryo. Let's say these are
14 very important in embryogenesis.

15 However, if you look at an imprinted gene you can see that this thing
16 changes very dramatically and that is now you have -- here I have a stop. In other
17 words, the allele you inherit in this example from your father is not expressed ever in
18 any tissue but the one you inherit from your mother is expressed in the tissues.
19 Normally this is fine and you get a normal embryo. However, if you mutate now the
20 maternal allele you can see that you now have no functional copy of this gene at all
21 and you now have in this example an abnormal embryonic development.

22 So, in effect, what imprinting has done is blocked the protection that
23 diploiding provides us from recessive mutations.

24 (Slide.)

25 Now you have to say, "Boy, there must be some darn good reason why
26 evolution would have selected for these genes?" But, in fact, the main theory for why
27 these genes evolved says that there is no advantage of this whatsoever and that it is
28 mainly a consequence of a genetic battle between the sexes to control the amount of
29 nutrients that the offspring extract from the mother.

30 To put it another way, if, for example, you think of the ability, and a
31 male is only going to mate with a female once -- we are talking about rodents now, not
32 us -- it is to his advantage to have that female put as much nutrients as possible into
33 that offspring so that it becomes -- and it is large so that it has a better chance to grow
34 and develop and out compete other offspring.

35 But from the female's standpoint this is a very major disadvantage
36 because she has to pass her genes forward completely by herself in effect. Only
37 within herself can she pass the genetic information in her body forward. So there
38 always has to be a reserve for the female to potentially mate with other males to pass
39 the genetic information forward. Whereas, with males they can go from female to
40 female to female to female. So you can see now you have developed a conflict which
41 natural selection can work on and this then is proposed to be the reason why these
42 imprinted genes ultimately are developed.

43 (Slide.)

44 And, in fact, you would predict with this model that genes that are
45 paternally expressed -- in other words, the mother shut off her copy -- would be pro-
46 growth and genes that are expressed from the maternal allele -- in other words, the
47 father turned off his copy -- would be anti-growth and thus far all the genes that are
48 imprinted that have been looked at, these predictions hold.

49 The other prediction was that imprinting evolved with the evolution of

1 eutherian mammals. The reason for this is because you can hardly think of a better
2 battleground for extraction of nutrients from the mother than with the advent of the
3 invasive presentation and large degree of fetal development within that offspring that
4 occurs within-side the uterus.

5 (Slide.)

6 We wanted to test that hypothesis. And we decided then to look at the
7 evolution of imprinting.

8 (Slide.)

9 Now the way we do this is look at what we basically have been
10 provided with as far as the three main groups of mammals that are present and still
11 here on earth. There are three. One is the monotremes and there are two members of
12 that. The platypus and the akidna, and these are egg laying relatives. Marsupials, of
13 which we only one member of that present in North America. Most of them are in
14 Australia. And that is the opossum. Down South we call them "Road Kill."

15 (Laughter.)

16 And eutherian mammals of which we are part of. Rodents, cows, et
17 cetera, are all part of the eutherian mammals. These are the true placental mammals.
18 And you can see approximately when these things branched off. About 150 million
19 years ago monotremes branched off and about 100 million years ago marsupials
20 branched off and eutherians.

21 (Slide.)

22 Now we decided to use -- the gene we wanted to study initially was the
23 Man-6-phosphate IGF II receptor. The reason we were interested in this is because, as
24 I will state later on, we had demonstrated and now numerous other people have shown
25 this, also, that this functions as a tumor suppressor. And it also has very interesting
26 biology. I am surprised nobody has actually talked about it yet so I have to actually do
27 some of this.

28 But mainly 90 percent of the receptor sits inside the cell where it
29 functions like a shuttle craft bringing lysosomal enzymes from the golgi apparatus to
30 the lysosomes but there is another little cycle that occurs between them. It is also in
31 the membrane, between the membrane bound and also the lysosomes.

32 This gene or receptor when it sits out on the surface functions basically
33 to control the bioavailability of growth factors that are very important in
34 carcinogenesis. Mainly IGF II and any glycoprotein that has Man-6-phosphate tags
35 on the sugars.

36 Now this is a little wrong because apparently this forms a homodimer
37 so that rather than these M-6-P proteins binding within one single molecule, they
38 actually bind across two but nevertheless the same thing holds. And there is an
39 independent binding site for insulin-like growth factor II and it is not a signalling
40 pathway. This is a degradation pathway. The signalling goes to the IGF I receptor
41 and also the insulin Type A receptor.

42 This receptor is also involved very much in the activation of a potent
43 growth inhibitor, mainly TGF beta. The latent protein binds on to this receptor,
44 plasminogen, which is also bound here clips it, and the TGF beta is then released, and
45 then it can inhibit cells from growing.

46 So if you have low levels or no levels of this receptor you cannot
47 appropriately inactivate IGF II, which is a potent growth factor antiapoptotic factor,
48 and you also on the other hand cannot very readily activate TGF beta, which is a very
49 potent growth inhibitor proapoptotic pathway.

1 So this is a very critical gene in the controlling of the bioavailabilty of
2 these proteins and probably others that we do not know.

3 (Slide.)

4 Now to look at imprinting, and I will go through this very briefly. First
5 of all, we looked at platypus and we looked at opossum. Interestingly, the platypus
6 IGF II receptor should not be called that because it does not bind IGF II, which we
7 found. That evolution actually occurred somewhere between monotremes and
8 marsupials. Marsupial M-6-P IGF II receptor does bind IGF II and everything up
9 above. Whereas monotremes, chickens, et cetera, anything that has been looked at
10 down below, does not bind IGF II.

11 So when we looked at imprinting we actually did not expect to find this
12 gene to be imprinted because it would not be able to control the bioavailabilty of IGF
13 II anyway and, in fact, that is what we did find. Just like in the chicken when you
14 have a polymorphism here at the DNA level you can see at the RNA level at various
15 tissues both alleles are expressed. This is a nonimprinted gene in monotremes.

16 However, when you go to marsupials, in this case the opossum, again
17 you have a polymorphism sitting here, this is the DNA level, you can clearly see in
18 this example the T allele, there is not a doublet here, is missing. The marsupials are
19 imprinted even though they do not have invasive placentation. So that phenomenon of
20 having fetal growth in an extended stage of that is not required to imprint genes and
21 we also know now that the IGF II is also not imprinted in monotremes but is imprinted
22 in marsupials.

23 (Slide.)

24 Now there is a lot of talk here and why is this important? We then
25 looked at the whole family tree basically looking at the M-6-P IGF IIR and also IGF
26 II. And, as you can see, if you follow this, this is IGF II receptor, the M-6-P IGF II
27 receptor. Imprinting evolved at this locus approximately 150 million years ago with
28 the advent and probably a precursor to marsupials and eutherians. Monotremes are
29 not imprinted at this locus.

30 But, interestingly, as you go up the family tree, imprinting at this locus
31 is lost. So imprinting is divergent at this locus in mammals. This is the only example
32 of this. IGF II does not have this phenomenon. Once it is imprinted it remains
33 imprinted all the way up the family tree.

34 Now why is this important? By the way, this is the very first gene
35 therapy because you have an allele that was turned off but by some mechanism nature
36 turned that back on. So 70 million years ago we now know gene therapy was at work.
37 We do not know what happened here but we are not the first to invent it.

38 So why is this important, though? Because rodents, which are mice
39 and rats, have only one functional copy of this gene. Whereas, we, as humans and
40 everything above bats actually, have two functional copies. So some of you are
41 probably saying why did we invite this person because all I have heard is what I have
42 heard in zoo 101 for the last ten minutes?

43 (Slide.)

44 And here is the take home point. The IGF II receptor is a tumor
45 suppressor gene. It is inactivated and is involved in every cancer that has been looked
46 at, which includes liver, breast, head and neck, lung. It is an oncogenic target for
47 mismatch repair because it is a poly-g region in colon and gastric cancers. So there is
48 a large variety of tumors. In liver cancer, which I will show on the next slide, 60 to 70
49 percent of hepatocellular carcinomas in humans have this gene inactivated, at least one

1 copy. And in every other one it ranges somewhere between 30 and 70. So
2 in reality this receptor as far as its breadth and extent rivals p53 as a tumor suppressor
3 gene.

4 (Slide.)

5 Interestingly, which came out in Nature Genetics not very long ago,
6 epigenetic changes, which actually control the expression of the IGF II receptor, have
7 been shown to be involved with what is called fetal overgrowth syndrome in cloned
8 sheep. Which means that if you get through the first stages and the embryo is
9 developing with cloned animals now, you have a real problem way at the end. They
10 either die just before birth or just after birth and that is because they have lost in effect
11 the expression of the IGF II receptor because of an epigenetic change that turned off
12 an internal allele, and these animals are about 50 percent larger than normal.

13 We now do not have imprinting at this locus so it suggests that it may -
14 - if this is a main problem with cloning at least at the later stages, it might be actually
15 easier to clone humans than it is to clone a cow or a rat.

16 (Slide.)

17 Now another interesting thing is that Granzyme B, which is released
18 from cytotoxic T cells, is targeted into the cell that is supposed to be killed by the IGF
19 II receptor. So if you do not have high levels of this receptor present, you, in effect,
20 are protecting that cell from immunological surveillance.

21 Finally, just a little thing nobody has ever done any more on it, it has
22 actually been identified as the first putative IQ gene. I say that only smart people must
23 work on this receptor.

24 (Laughter.)

25 (Slide.)

26 Now getting back to liver cancer which is what we have heard a lot
27 about here. This is a human hepatocellular carcinoma in a cirrhotic patient. I do not
28 remember if this patient was chronically infected with hepatitis B or C but it makes no
29 difference. The results are the same.

30 And a very interesting -- this is work that was done by Tomoya
31 Yamata. And I must say that the person that did all the evolution work just passed his
32 Ph.D. as part of his M.D./Ph.D. last Friday and his name is Keith Gilliam.

33 Anyway, when Tomoya Yamata looked at this, he was scraping out
34 these areas and you can find -- see there is a polymorphism that we used, and we
35 found that one allele was lost in all of these areas. And I think this tumor also had the
36 other allele mutated but I do not remember for sure.

37 But the interesting part is when you went into these areas outside of
38 this tumor, here, here, here, here, the hatched areas, and lo and behold we found also
39 that one allele was lost here. These faint bands are because of contaminating normal.
40 If you look at 12 you see the bottom allele is lost.

41 What this suggests now is that this whole volume of tissue is clonal. It
42 appears that loss -- and it is not mutated. Whenever we found the tumor had the
43 second allele mutated, the surrounded normal tissue that was right adjacent to it did
44 not have that mutation. So it appears at least in these tumors the first hit is loss of one
45 allele. It apparently gives it a growth and/or survival advantage in this chronically
46 infected environment and these cells literally regenerate that liver.

47 Now if you think of it from the standpoint of the IGF II receptor, this
48 tissue right here is genetically identical to the whole liver in a mouse because the
49 whole liver in a mouse only has one allele of this gene also functional because of

1 imprinting.

2 Seventy percent of hepatocellular tumors come out of cells that have
3 the IGF II receptor, at least initial cell, one copy inactivated. Only 30 percent come
4 out of these cells over here that have both alleles present.

5 (Slide.)

6 So what we are proposing as a model is that you have these cells in
7 human liver for what unknown reason have at least one copy of the IGF II receptor
8 already inactivated. Now it does not mean that there could not be other genes that do
9 the same thing but when you get this chronic infection where you are losing cells all
10 the time, you also have to have regeneration to actually keep this mass at about the
11 same volume as you always -- that you had initially. So I have this necrosis here. It
12 does not look like that but, you know, that area is what I say is necrotic.

13 Now where you have these cells that already have one allele lost of the
14 IGF II receptor you get clonality of cells that are phenotypically normal. If you look
15 at these cells with just H&E they do not look abnormal. They are in an abnormal
16 environment but they are not abnormal as far as having physical appearance. And
17 ultimately, as I said, 60 to 70 percent of the tumors come out of these clonal masses
18 that have only one copy of the IGF II receptor functional, not out of this tissue here
19 that has both alleles functional.

20 (Slide.)

21 So what we are saying is that this provides an explanation possibly, at
22 least one, of why there is a species dependent difference in risk basically of mice and
23 rats to cancer relative to humans. Now if this is the case given the fact that this
24 receptor is involved in trafficking lysosomal enzymes, if you do produce high levels of
25 these enzymes either intracellularly or even outside of the cell, and you, in effect,
26 reduce the level of receptors that are present on the outside of the cell, this could
27 potentially have an oncogenic effect itself. I am not saying that what you see here is
28 this but if you are really jacking these things up locally very high, you could create an
29 environment when, in effect, you have knocked out both alleles of this tumor
30 suppressor gene in mice.

31 If this is the case, however, this would probably be less problematic in
32 humans because we have both copies of this gene functional unless you are trying to
33 treat patients that have chronic diseases where you would get regeneration of the liver
34 in which you might ultimately get cells that regenerate that liver having only one copy
35 of this tumor suppressor gene functional.

36 So if you think about it, what acutely caused that patient to survive
37 from fulminate hepatitis basically -- in other words, these cells in this environment
38 have a growth advantage, ultimately gives rise to their demise because you are
39 regenerating your liver with preneoplastic cells.

40 (Slide.)

41 Then we also wanted to ask the question, for example, if these cells are
42 more resistant to dying in their normal environment, are they potentially also more
43 difficult to kill as far as when they form a tumor. So just to be focused on this yellow
44 versus the blue, this is for head and neck cancer now, not liver cancer, and this is a
45 Phase III study.

46 This was not part of it -- the original part was we were comparing
47 chemotherapy alone -- radiation therapy alone plus combined modality and you can
48 clearly see, and it was highly significant, that we do not have the receptor mutated,
49 which is non-LOH, we have very high survival. Whereas, when it is mutated you

1 have very low survival and it appears from this retrospective study anyway that
2 patients that benefit most from chemotherapy are the ones that have the IGF II
3 receptor mutated. They go from here to here whereas this line goes from here to here.

4 (Slide.)

5 Now I think this is kind of interesting. Not only do these cells
6 apparently appear to be more resistant to death, et cetera, when they are in their
7 environment in the liver, for example, but they also are more resistant apparently when
8 you try to treat them after a bona fide tumor has resulted. If you remember in head
9 and neck cancer there is things called the field effect where there are multiple primary
10 tumors that develop within an area. More than likely you are getting clonality again of
11 these preneoplastic cells that look phenotypically normal that are also already
12 premalignant.

13 (Slide.)

14 This is a phenomenon that is going throughout the cancer world --
15 DR. BREAKFIELD: Hopefully, we are going to try to start summing
16 up here?

17 DR. JIRTLE: Yes.

18 DR. BREAKFIELD: Okay.

19 DR. JIRTLE: I just wanted to stop here then with the fact that we have
20 now made a fluxed M-6-P IGF II/R mouse and with this because this is a lethal
21 mutation when you knock out embryonically, we have fluxed the exon 10 and as a
22 consequence in this case you can see we have now bred this with a Cre animal that
23 produces Cre in response to albumin and you can knock the gene out in the liver.

24 So I do not know if this animal would be of use to what we are talking
25 about here. It surely is of use to me because I want to determine whether or not these
26 animals now are more sensitive to liver carcinogenesis in general but they might also
27 be of help to resolve some of these issues that have been discussed this morning.

28 Thank you.

29 (Applause.)

30 DR. CRAWFORD: Randy, before you leave the microphone, a quick
31 question. Could you clarify, when you say "trafficking of a lysosomal enzyme might
32 impair things," are you proposing that as a second hit? If you have too much
33 lysosomal enzyme to traffic it actually will functionally shut down the second allele?

34 DR. JIRTLE: You should ask Dr. Sly about this but it seems like one -
35 - if you have high levels you could, I think anyway, alter the distribution of the
36 receptor from being -- going to the surface to primarily, let's say, being in the inside if
37 that is the case. Let's say that --

38 DR. CRAWFORD: And hence losing its tumor suppression function?

39 DR. JIRTLE: Right. If the kupffer cells are making high levels -- let's
40 say it is coming in from the outside, now that receptor is going -- that enzyme is going
41 to be competing with TGF beta for activation and IGF II so there will not be as many
42 receptors there.

43 DR. CRAWFORD: But I think part of the question is how high is high
44 because if it is kupffer cell --

45 DR. JIRTLE: I have no idea.

46 DR. CRAWFORD: -- uniform as opposed to transduced hepatocyte
47 locally, those may be two different --

48 DR. JIRTLE: I think the local probably is going to be -- would
49 probably -- if this is -- if this is the model it would have to be a lot higher than what

1 we have seen locally.

2 DR. KAY: The angelman gene missed being identified for many years
3 because people were looking for an imprinted gene and it was only imprinted in a very
4 specific tissue. Since you only have 30 percent loss of heterozygosity in the liver, do
5 you know for a fact that in the human that this gene really is not imprinted? I mean,
6 has that been looked at molecularly? Do you have a preference for loss of the
7 maternal versus paternal allele? Have you used this polymorphism to look at
8 transcripts and things like that in the liver?

9 DR. JIRTLE: Yes. In fetal and in adult stages it is not imprinted.

10 DR. KAY: Okay.

11 DR. JIRTLE: It is not just the evolutionary approaches. We have
12 physically gone and looked at it.

13 ROUNDTABLE DISCUSSION

14 DR. JOHNSON: Any other questions for Randy?

15 Our next speaker fell prey to the MacIntosh curse, I think, and is
16 having trouble getting his slides set up so what we are going to do is we move right on
17 into the panel discussion at this point. It makes sense to do that given the agenda that
18 we have to discuss and I think that -- I believe that this was included.

19 The panel discussion questions were included in all the folders. And
20 while we are getting started here, Amy is going to put up the questions on the slide.

21 So I think what we will do is just simply ask the questions and see if
22 the roundtable panel here at the table has an answer that they would like to pose for
23 the question. I want to try and stick to these as much as we can but then also leave
24 room for some extraneous discussion because I have a couple of points that I would
25 like to raise as we get into this.

26 So the first question is: "Please discuss the potential for the AAV
27 vector system to induce tumorigenesis: (A) What is the potential for AAV integration
28 to induce insertional mutagenesis?"

29 Does anybody want to tackle that on the panel?

30 Nick?

31 DR. MUZYCZKA: Well, there is always a potential it will cause
32 insertional mutagenesis. Any time you put any kind of a DNA into a cell that does not
33 belong there, whether you do it free of plasmid or whether you do it via an AAV
34 vector or a lenti vector, or whatever, if it is sitting there for a very long period of time
35 we all know that mammalian cells are quite proficient at illegitimate recombination
36 and so there is the potential at least for illegitimate recombination and insertional
37 mutagenesis.

38 Having said that, if you look at the data on these specific tumors, I
39 think it is fairly clear with maybe one minor caveat that this is not a classic case of
40 insertional mutagenesis for several reasons. One is we cannot find the DNA for all
41 intents and purposes in the tumors. And when we do find it, it is down at a very low
42 copy number as compared to the genome. And that, in fact, might be our routine test.
43 We are going to run into this problem all the time and we might consider using real
44 time PCR and just looking at the ratio of the gene that we put in, the vector genome
45 copy compared to the cellular genome copy, that ratio as an indication of whether we
46 are looking at insertional mutagenesis or not.

47 If it is well below one, which it is in all these tumors, then it is unlikely
48 that what we are looking at is a clonal expansion of an insertion into a tumor
49 suppressor gene. That does not rule out other kinds of tumor induction models and we

1 have heard some of them but that one at least seems to be ruled out in this particular
2 set of tumors.

3 DR. JOHNSON: Any other -- I think that is an elegant answer that
4 summarizes the data very well.

5 Are there any other points to be made in response to that particular
6 question?

7 DR. BREAKFIELD: I would just like to ask a couple of questions on
8 that. One is let's say if you just take cells in culture, a human cell that is dividing and
9 you infect with a vector that is rep-, what is the frequency of integration in the genome
10 or do we know that at this point?

11 DR. MUZYCZKA: That is fairly old data. Again from cell culture --
12 and I want to caution everybody that what we learned about AAV integration in cell
13 culture appears to have no relationship to what we are learning now about AAV
14 persistence in real animals. But the data is variable with cell type. In general, rep+
15 clones were integrating, we now know, predominantly into chromosome 19 at a
16 maximum frequency of about 10 percent.

17 And so-called recombinant rep- clones were integrating anywhere from
18 .1 to 80 percent depending again the cell type. And that is a real 80 percent, i.e. if you
19 put 80 -- 100 cells on a dish you got 80 of them transduced.

20 There have been arguments about those numbers but that is what we
21 have been seeing. I think that is a fair description.

22 DR. BREAKFIELD: Is that transduction -- do we know whether it is
23 integration?

24 DR. MUZYCZKA: Yes. In those cases in the cell culture experiments
25 we generally were using selection, which some people would argue is a confounding
26 issue, but even when the selection was not there -- for example, there was a set of
27 experiments done with wild type genomes in cell culture where you -- there was no
28 apparent selection. You simply cloned single cell clones and that has been done with
29 some vector as well. And, yes, those numbers hold up.

30 DR. BREAKFIELD: And I just have one more question. If you do
31 not have rep present and now you have all these integrins, have enough junctional
32 interfaces with the genome been analyzed to say whether there is any kind of site
33 preference to a rep- integration?

34 DR. MUZYCZKA: I am going to punt to Samulski on that one. He
35 has probably done more of them anyone else.

36 DR. SAMULSKI: I think all of our data says there is no site
37 preference for integration once you lose the rep chain. At the same time the type of
38 integration is similar to what Michael Lenin (sic) and Robert described. They are very
39 -- one or two copies that seem to integrate unlike the wild type, which can be high
40 copy number.

41 DJ : That is in cultured cells?

42 DR. SAMULSKI: Yes.

43 DR. BREAKFIELD: I just have one more question so I am clear on
44 everything. Is the IGR when it integrates in itself, can that act as a promoter or -- I
45 know obviously people bring in other promoters but is the ITR element really a
46 promoter in its own right or, if so, how strong?

47 DR. FLOTTE: I just want to say the ITR promoter is -- has very weak
48 promoter activity and it varies from tissue to tissue. It was discovered accidentally it
49 is so weak. Looking at a functional readout of CFTR, which is where you actually do

1 not need very high expression in terms of messenger RNA copies per cell, when you
2 use an ITR promoter in vivo, for instance, under conditions in a region along where
3 you might get 20 to 50 percent of cells showing evidence of DNA, you get one copy
4 of RNA per cell or less. So it is a very weak promoter.

5 Jude has recently shown that it can be high enough to be detected in the
6 central nervous system when one is looking at baseline level but it is probably -- this is
7 a less promoter and, you know, is extremely weak. No -- I mean, I would say
8 probably if you wanted to quantify it, it -- the -- which has been done, the expression
9 level was something like a luciferase or a cat reporter, it is probably at least three logs
10 less than what we would consider a weak promoter like SV40 promoter and, you
11 know, up from there compared with CB promoter. You know, five logs less or -- you
12 know, I am just guessing but on that order of low efficiency.

13 I do not know if Jude wants to comment on that.

14 DR. JOHNSON: There was -- I think, Phyllis, did you have a question
15 from the audience?

16 DR. GARDNER: Insertional mutagenesis certainly is not my field so I
17 wanted to understand one point. Is it -- if you expected it to be insertional
18 mutagenesis, and let's just hypothesize the Man-6-phosphate IGF II receptor, that
19 leads to the failure in this imprinted species of the tumor suppressor gene, and then
20 you looked at it to examine it to look for integration of that or -- of that DNA, you
21 would expect a one to one ratio of the vector DNA to the genome DNA. Does that
22 assume then that that is all clonal expansion of the -- is the tumor -- could it be that
23 you start with that process and then the tumor has other mechanisms for growth than
24 expansion? I do not know. I mean, is it expected to be clonal, the tumor?

25 DR. JOHNSON: I will get Nick to answer that.

26 DR. MUZYCZKA: The answer is yes.

27 DR. JOHNSON: Yes.

28 DR. BREAKFIELD: I mean, it would be expected to clone but, I
29 think, as Mark raised, some tumors, for instance, have a lot of vascularization
30 associated with them so it does not necessarily mean that all the cells in there -- all the
31 tumor cells are but there can be other cell types in there. Some tumors like NF1
32 tumors, I mean it is hard to find a real causative cell. There are so many other tumors
33 that kind of join into the --

34 DR. GARDNER: What would you expect in the case of hepatocellular
35 carcinoma or angiosarcoma?

36 DR. JIRTLE: Can I make a comment? I mean, when you lose function
37 of the IGF II receptor you protect the cell from dying and apparently you also protect
38 it from being killed by the immune system so now you have a perfectly wonderful cell
39 for the accumulation of additional oncogenic events which will ultimately give rise to
40 this thing growing very large and some of them will not but we do not see a lot of
41 tumors probably in these animals but some of them will.

42 So you protect that cell from being destroyed and then it accumulates
43 additional damage and now you have a tumor at a month or six months or a year later,
44 and it is clonal.

45 DR. MUZYCZKA: Yes. But I think the -- but to go back to her
46 question, if one of those events was due to an insertional mutagenesis event because it
47 is clonal you would expect to see that there in that tumor.

48 DR. JIRTLE: That is correct.

49 DR. MUZYCZKA: Right.

1 DR. JOHNSON: One final point over here.

2 DR. _____: Yes. I just wanted to follow up on that question
3 because if most of the cells in the specimen that are tested are, in fact, part of the
4 tumor clone, which seems to underlie the assumption that you would see a DNA copy
5 number of approximately one if there was insertional mutagenesis, then why would
6 you not see a DNA copy number of zero? Why, in fact, are you seeing a DNA copy
7 number of say .1 and that suggests either that you have a none -- that would suggest
8 you do not have a clonal population, either the virus was present there or infected after
9 the transforming event, or if 10 percent of the cells are infected and if that is higher
10 than what you see in the liver, it could be that that is the number of malignant cells and
11 that the other 90 percent of cells in the specimen are something else.

12 What explains that lower copy number?

13 DR. KAY: I mean, first you have to realize when those tumors are
14 resected they have some normal tissue there so you are going to have some of the
15 AAV from those cells as well that may be in the population.

16 DR. _____: So we should revise the earlier statement to say that
17 if it were insertional mutagenesis we would expect not a copy number of one but a
18 copy number greater than a certain amount so then the question is, is that number
19 greater than .5, greater than .1, greater than .9, greater than .01? What percentage of
20 the cells in that biopsy are tumor cells and can you find out which ones of the cells --

21 DR. KAY: It looked like it was a predominant cell type and the copy
22 numbers, both by quantitative Southern blot and by real time PCR, were very
23 concordant and these were totally done in separate facilities. We had no idea what the
24 other person was doing and at the best -- at the very highest it was .1. So that is
25 tenfold less than a number of one, a ratio of one.

26 DR. CRAWFORD: You can actually go back to the 1965
27 morphometric data which shows that in normal liver roughly 90 percent of the nuclei
28 are hepatocytes but the remainder is everything else. It is sinusoidal (phonetic)
29 endothelial cells, kupffer cells, fibroblasts and what have you. And although the
30 proportion may be a little bit higher in a hepatocellular carcinoma you still have
31 vasculature in sinusoidal cells to deal with and one could even make the argument that
32 the copy number you are seeing is the endothelial cells and kupffer cells.

33 DR. FLOTTE: I need to clarify one point on our data, I think, which is
34 that we examined DNA from four tumors. We had no detectable vector DNA in two
35 of the four tumors and, in fact -- I mean, by my reasoning, if this was a necessary and
36 sufficient event for tumor formation that we should have had results that were
37 consistently within a range that would be at least, you know, feasible given the
38 possibility of other kupffer cells.

39 DR. CRAWFORD: And that copy number arguably would be .9 or
40 greater or something like that.

41 DR. JOHNSON: Right. Let's make two more points and then we have
42 got to move on.

43 DR. KAY: I just want to make the point that there has been a lot of
44 data generated clinically and preclinically with retroviruses, which I think are much
45 more efficient at integration except in the rare -- the exception where there was a lot of
46 wild type replication in monkeys, there has been no evidence of tumor formation to
47 date.

48 DR. GARDNER: Just one more question. Insertional DNA, is it as
49 stable through replication as the regular DNA? Is it ever lost once it is inserted and

1 rapidly turn over cells or does it persist?

2 DR. SAMULSKI: I think you bring up the one variable that may
3 explain some of this data and that is if you had an integration event that caused a
4 problem and then it got lost, and the tumor went on without the primary vector still
5 present, that model has been referred to as a hit and run, and whether or not it is
6 something that has an impact here, I do not think any of our data can support.

7 DR. FLOTTE: The point I just want to make in terms of organizing
8 our thinking about this, I mean we have thought that generally in animal tumorigenesis
9 that insertional activation is a lot -- is a lot easier type of a model to say it would
10 happen with some predictable frequency. With the exception of genes that are
11 imprinted, most tumor suppressors would have bi-allelic expression and so one would
12 have to have the vanishingly rare event of two hits on separate chromosomes.

13 So a hit and run is not going to be an insertional activation event. More
14 likely that would be an insertional deactivation event and that -- so that would only
15 apply to a very small subset of tumors. I think this issue about the imprint allele is
16 important in terms of the transgene product in this particular instance but to think
17 generally of tumor suppressors as having monoallelic expression is not -- is leading us
18 in the wrong path.

19 DR. JIRTLE: There is presently only two tumor suppressors that are
20 known to be imprinted and only one in humans.

21 DR. BREAKFIELD: Could I just make a comment about the
22 retroviruses? I mean, usually they activate a protooncogene downstream.

23 And also the one thing I was kind of curious on that, with retroviruses
24 they have the advantage that they are pretty unstable usually and titers are pretty low
25 so in contrast to AAV you can get -- they are more stable and you get, you know,
26 higher titers. So just in terms of the number of hits the cell takes what is the relative --

27 DR. KAY: Well, with newer vector preparations you can get pretty
28 high titers and we have used lentivirus so we can transduce over 50 percent of
29 hepatocytes and we know in those examples that if you do a hepatectomy you have
30 100 percent integration, at least of the expressed genomes. So in that situation, unlike
31 AAV where there is a very small percentage of the cells that are transduced that
32 actually have integrated genomes, with retroviruses it is close to 100 percent.

33 DR. JOHNSON: Okay. One more?

34 DR. SLY: Yes. I would like to say in reference to the hit and run two
35 things. One is this has an enhancer that can have a very long-range effect in reference
36 to activating protooncogenes at some distance.

37 Secondly, I am wondering whether the immune response could, in fact,
38 encourage run once the activation has occurred because it is clearly evoking -- very
39 likely evoking a very strong immune response to a cell now that is making a foreign
40 gene product and it may, in fact, select against those cells which will be eliminated
41 and lead to low count residual AAV.

42 DR. JOHNSON: I think we might address the immune part in number
43 two because I have some questions.

44 A final word from the FDA?

45 DR. SIEGEL: Yes. Well, I am trying to put together these comments
46 and I am not sure I understand so let me express what I understand. There were four
47 mice with tumors and in those tumors the copy number was undetectable in two and
48 was up to .1 -- was positive up to .1 in two of them. And now we are saying that
49 maybe as many as 10 percent of the cells in these specimens were not from the tumor

1 clone.

2 So are we suggesting that in some mice 100 percent of the nontumor
3 cells expressed the gene and in other mice zero percent of the nontumor cells express
4 the gene or what is -- I am trying to get an understanding for the explanation where is
5 the signal coming from, what does it mean that it is higher than in normal liver tissue
6 if you are dealing with clonagenic cells?

7 Do we really know that 90 percent of these cells are tumor cells or
8 could it be much less? In some tumors it is much less than that. Could they be mostly
9 inflammatory cells or infiltrating cells? What would explain this?

10 DR. CRAWFORD: Number one, I do not think we have excluded a
11 variety of possibilities so, I mean, that was simply a morphometric statement. I do not
12 know where the gene is being expressed. If it is being expressed in hepatocytes you
13 have to say that 10 percent or less are carrying copy. If it is expressed in nontumor
14 cells then you have to address variability in those nontumor cells and call them
15 inflammatory or stromal cells. So we have a variety of ways to interpret the data and I
16 do not think currently we can distinguish.

17 DR. SIEGEL: Do half the cells express it or is there an experiment to -
18 -

19 DR. CRAWFORD: In situ would be a way to do it.

20 DR. KAY: We have done some -- I am sorry. We have done some of
21 this. We have done immunohistochemistry. We have done X gal staining. We have
22 done RNA in situ hybridization with a variety of different reporter genes. And we
23 have not seen evidence of transduction of non-parenchymal cells. That does not mean
24 it does not occur at a low frequency and in those experiments we have used ubiquitous
25 promoters and we have looked for expression. So if something was being expressed in
26 those tissues we would expect to see that. That does not mean that it does not happen
27 at a low efficiency but we have not observed that yet and we have looked at a lot of
28 different ways. I do not know if anyone else has done those types of experiments.

29 DR. SANDS: If I can make a comment real quick just to address your
30 last point about the number of inflammatory cells in the tumors. When Carol Vogler,
31 who is the pathologist who has examined most of these animals over the last 12 years,
32 when she examined these tumors there is a very striking difference between a section
33 of normal liver from the same animals and a section of tumor. The vast majority of
34 these cells in the tumor are hepatocyte in nature but the vast majority of them are
35 dysplastic in nature. There are also very few inflammatory looking cells.

36 So it really looks like a hepatic tumor is just a big aggregation of
37 dysplastic hepatocytes with very few other cells in there. A few endothelial cells and
38 a few lymphocytes but mostly hepatocytes.

39 DR. FLOTTE: Now if I can just draw back one more point again in
40 terms of sort of summarizing and thinking about this. I mean, people have talked a lot
41 about the promoter and downstream activation and so forth. There would be no
42 explanation if this is purely a genetic alteration event for the great discrepancy that we
43 see between animals in this model. I mean, it is an obvious point but I mean if we are
44 focusing in on just the behavior of the vector it would have to be very specific to the
45 strain in order to see such a discrepancy in the frequency of tumors between the
46 different sets of data.

47 DR. JOHNSON: Okay. Let's address point B. "Could different
48 methods of vector production contribute to the tumorigenic potential of the vector?"

49 DR. MUZYCZKA: Since I made it, of course. This particular vector,

1 which by the way was the same in both negative sets of data and the positive sets of
2 data that you have heard about, was done by what is called the iodexonal step gradient
3 density centrifugation followed by heparin column chromatography. So the major
4 contaminants might be iodexonal or heparin. The other things that are in there are
5 salts basically, the buffers that have a pretty good safety record. Iodexonal is actually,
6 I believe, approved for human use as a contrast agent and we would have very small
7 amounts of it. We have not gone to test for it. And heparin is also something that has
8 been commonly used in animal experiments and again we would have only small
9 amounts of it.

10 So I am hard pressed to see what in this particular virus might be a
11 contaminant. Unfortunately we did not save as we are doing now, we did not save two
12 years ago aliquots of this so we cannot go back and check for other obvious potential
13 fortuitous agents like hepatitis C or hepatitis B. Again we did not see that in the
14 companion set of data from other labs.

15 I think that that is why we do both acute and long-term tox studies to
16 check for things like this that we cannot predict might be there and cannot predict
17 might cause either acute toxicity or tumors but I have no reason to believe that this
18 particular prep had any. So I think that is just something that is going to have to
19 go on hold.

20 DR. SAMULSKI: Can I add a quick comment? I think if something
21 coming through the prep was going to contribute to oncogenicity there is enough
22 people out here making AAV that if there was a common reagent we would see more
23 tumors showing up and I would also suggest that because of the proficiency in how
24 virus is probably made for this study, if it was something that came through, it must be
25 very, very specific because I think the quality is probably higher than what most of us
26 do and, therefore, if there was a common agent we would all be facilitating this
27 oncogenic event if that is where it is heading.

28 DR. FLOTTE: I had just one other question that is sort of prompted by
29 this. Not directly related to production but the issue of contamination of the mice with
30 other tumorigenic infectious agents such as helicobacter or viruses. I do not know if,
31 Mark, you want to comment. You never had an opportunity to comment on that,
32 whether, you know, there was the possibility -- since these were the only real long-
33 term mice at that particular time.

34 DR. SANDS: Right. Very shortly after we discovered the tumors I
35 contacted our animal care people and I got all the sentinel reports from our mouse
36 room for the last three years. And during that time period there were no agents present
37 in any of the sentinel animals. Now again these are -- it is a limited screen that they
38 do. Actually it is quite a few pathogens they look for but obviously there are things
39 that are missing and that is a viable hypothesis that something could have come in
40 during that time window of time and contaminated those specific animals.

41 Just as an aside, I tend not to favor that only because in that same
42 mouse room where we do all of our procedures and our mice are housed, we also have
43 SCID MPS mice and non-SCID MPS mice, which are obviously very severely
44 compromised. And I would predict that if there were some infectious agent in there
45 that we probably would have wiped out those colonies and we have not seen any
46 evidence of any toxicity of any kind in any of our colonies. Again it does not
47 formally exclude that possibility but it is not one of my favorite hypotheses.

48 DR. JOHNSON: A question from the audience.

49 DR. DAWES: Roland Dawes, NIH. Is there a policy in place to hold

1 on to part of each lot used in a human clinical trial just in case something like this
2 pops up and we want to go back and look?

3 DR. JOHNSON: Dr. Carter, would you like to respond to that?

4 DR. CARTER: (Not at microphone.) (Inaudible).

5 DR. JOHNSON: Yes is the answer.

6 DR. KAY: Can I make a comment about the adventitial agents? I have
7 learned recently that in most mouse colonies they do not check for helicobacter and
8 from what I understand in certain strains of mice the major phenotype of infection is
9 after long-term -- some sort of hepatic tumors. And that is something now that I have
10 asked our people to start checking for because from what they tell me, this is not
11 commonly checked for in most mouse colonies.

12 DR. FLOTTE: Those lymphoid aggregates in the liver actually, I do
13 not know if Jim wants to -- but those are commonly seen with helicobacter infection
14 and that one finding that you did bring up.

15 DR. JOHNSON: Okay. Let's move to Point C. "Could co-infection
16 with adenovirus or herpes viruses increase the oncogenicity of AAV vectors?"

17 DR. SAMULSKI: Can I take this one? I think it is very clear that once
18 you make an AAV vector, in order to mobilize it you need two hits. You need an
19 adenovirus or a herpes and you also need a wild type AAV to supply direct genes.
20 Unless you have two hits, wherever the vector is, if it is integrated, it is not going to be
21 mobilized so I do not think incidental infection where AAV is going to increase any
22 probability of getting more oncogenic. I think once you do have two hits you have a
23 lytic infection and then you are asking yourself what is the probability of vector
24 moving, wild type moving and integration. And I just do not think anybody has
25 addressed that question in any models where we could conclude any kind of
26 information but I think vectors will not move.

27 And I think a number of people have looked at this where if you put --
28 if you have an integrated cell line or an integrated virus and put in helper virus it does
29 not move.

30 DR. KAY: Some of the mouse data I showed about the first 10 or 12
31 mice were with very early preps and they were substantially contaminated with wild
32 type AAV and we -- and those animals were followed 12 to 19 months and we did not
33 see tumors but again the lack of adenovirus.

34 DR. JOHNSON: Terry, you have done an experiment where you have
35 tried to induce mobilization?

36 DR. FLOTTE: Right. We did a series of three different paradigms of
37 infection. In different sequence whether we would treat with vector. This was all in
38 the respiratory tract and all in Rhesus monkeys, either infecting with vector and then
39 subsequently co-infecting with wild type AAV and adenovirus. In which case we
40 really did not see much in terms of mobilization of the vector or in some other
41 different sequences.

42 The only situation where we saw some mobilization of vector was
43 where we had co-infected at high -- at really high multiplicity of infection the wild
44 type and the recombinant vector, and then subsequently seven to ten weeks later came
45 back with the adenovirus and saw some very brief and low level shedding of the
46 recombinant. It appears our hand waving explanation is that the wild type virus has a
47 great competitive advantage when the helper virus comes along. And so where you
48 need two hits, that two hit event with latent vector really tends to favor the replication
49 of a wild type virus more than the recombinant.

1 DR. MUZYCZKA: I would just add one more point and that is if that
2 event happened it would seem to me that now you are sending up a red flag to the
3 immune system which is going to focus on the Ad but it is also going to clear
4 eventually this -- Ad or herpes but it is going to clear that cell that is carrying the
5 AAV. So this would be a mechanism really for getting rid of your vector rather than -
6 -

7 DR. FLOTTE: I would add on that as well. We did a related but
8 different study where we could only see cell mediated immune responses to AAV.
9 This was with wild type AAV in the presence of adenovirus. But when we did use
10 adenovirus we saw both cell mediated and humoral responses to the AAV. So it was
11 clearly in that scenario with productive infection where you really are getting -- you
12 are sort of shedding the immune privilege.

13 DR. BREAKFIELD: I think we can have our last talk by Robert --
14 our last talk of the morning by Robert Maronpot and he is going to talk about hepatic
15 neoplasia in mice, which is I think some new information we would all like to have
16 right now.

17 HEPATIC NEOPLASIA IN MICE
18 ROBERT MARONPOT, D.V.M., M.P.H.
19 NIEHS

20 DR. MARONPOT: Thank you. Sorry about having a Mac although I
21 like it for most things.

22 (Slide.)

23 I am from what we call NIH South. We are down in North Carolina
24 and we also house what is known as the National Toxicology Program and boast, if it
25 is worth boasting, over 500 cancer bioassays in rats and mice. So most of our
26 experience is related to conventional animals but we are doing more and more work
27 with transgenics.

28 (Slide.)

29 My initial idea was to give you an overview, and I probably should
30 have talked a lot earlier. There are a variety of primary tumors that can occur in the
31 liver. We are here interested in, I gather, mostly just the hepatocellular ones, although
32 I was led to believe before I came that the hemangiomas or hemangiosarcomas were
33 also hepatic but it appears that is not the case.

34 (Slide.)

35 I am going to focus on the hepatocellular tumors. I want to tell you
36 that they are very common in mice. It is the most common response when we treat
37 with a carcinogenic agent or a potential hepatic carcinogen.

38 (Slide.)

39 And in those situations where you are dealing with a chemical
40 administration to see if you are going to produce tumors in rats or not, 24 percent of
41 the time you get a positive liver response. So it is a nontrivial response. It occurs all
42 the time and you see lots of liver tumors.

43 (Slide.)

44 Now there is a strain variability in terms of the spontaneous occurrence
45 of liver tumors and there are high sensitive or susceptible strains and low ones. And
46 not everything is on here because we do not have lots of data on some strains but I will
47 point out that the C57 Black, which has been talked about here, is a low susceptible
48 strain. So would be the FVB mouse if you are using that for your transgenic work.

49 (Slide.)

1 Well, we need to see what liver tumors are. This is your first exposure
2 to something that you can probably identify as a liver tumor and there is two
3 categories here. There is the spontaneous occasional occurrence of a discrete nodule
4 in a liver that is tentorially different and is raised about the surface. And in more
5 heroic treatments you can get multiplicity such that there are so many of these that
6 occur that they begin to fuse and grow together.

7 (Slide.)

8 There is a progression of how these form and that is one of the main
9 points I want to make. The progression goes from foci to cellular alteration to
10 adenomas, to carcinomas. When we are talking about outcomes of studies, some of
11 which we have heard today, we are looking at a window in time and we see what is
12 present in that window. We do not necessarily see the progression.

13 (Slide.)

14 Foci of cellular alteration of the earliest lesions, they increase in
15 number and later on as they transform into adenomas they decrease in number. Some
16 adenomas are seen to arise within foci when you are lucky and you get that fortuitous
17 histologic section and the adenomas increase in time before the carcinomas. Then the
18 carcinomas come along and sometimes we can see those focally arising within
19 adenomas. So a number of us, myself included, believe very strongly in this
20 progression.

21 (Slide.)

22 This is the focus of cellular alteration. It is a tentorially different
23 population of cells that does not compress the adjacent hepatic parenchyma.

24 (Slide.)

25 This is a little more subtle but it is a focus that is basophilic and this is
26 all normal liver around the edges.

27 (Slide.)

28 Adenomas are more discrete and they are made up of a monomorphic
29 population of cells. That is one example and here is another example.

30 (Slide.)

31 Now carcinomas are bigger, more heterogeneous. I do not have a low
32 powered picture but they have a distinctive histomorphology on high power where the
33 clusters of hepatocytes are arranged in nests or cords or sometimes glands, and these
34 are rather thick trabeculae separating the sinusoidal spaces between them where these
35 other cells, these non-parenchymal hepatic liver cells reside that we have just heard
36 about in the discussion.

37 (Slide.)

38 I am going to tell you about an experiment to make a point because I
39 think it is related to some of this adenoviral work. It is a chemical experiment where
40 one dose of vinyl carbamate was given at one time in life, which was very early, and
41 was given at two doses, and there was no further treatment and the animals were held
42 and then they were periodically sacrificed over a period of time up to 30 months.

43 (Slide.)

44 This is a generic depiction of foci of cellular alteration and they are
45 expressed in terms of multiplicity. We have standard sections that we take that
46 represent a certain amount of real estate and this is simply a count of how many foci
47 were present in that standard amount of real estate. For the higher dose there is a rise
48 up to close to 15 or 16 foci and then a decline and then in the lower doses there is a
49 rise and a decline that occurs later temporally and then there is a very modest response

1 in the controls.

2 (Slide.)

3 This is the prevalence of hepatocellular adenomas in the same
4 experiment. The high dose shows adenomas occurring early. This is a reasonably
5 heroic treatment even though the animals only got one dose early in life and so there is
6 100 percent prevalence. Carcinomas follow behind with a high dose showing the
7 occurrence of carcinomas before the low dose and certainly well before they control.

8 So the reason for showing you these generic sort of pictures is to look
9 at one composite which is, for brevity sake, made up of three strains, the B6C3F1, the
10 C3H, those are two susceptible strains, and the C57 Black-6, which is more resistant.

11 (Slide.)

12 And here they are. The B6C3F1, the C3H and the C57 Black-6. And
13 you can look down and across and for the two susceptible strains there is a reasonably
14 robust focus response and a very prominent hepatocellular adenoma and
15 hepatocellular carcinoma response. In the C57 Black the response is much more
16 modest and please note that it occurs later in time. There is a latency issue here that is
17 very important. So besides does latency is important.

18 (Slide.)

19 In terms of multiplicity of tumors in the liver, if you look at the blue
20 bars this is the control B6C3F1, the low dose has more tumors per liver and this is per
21 whole liver, six, and the higher dose has up to about ten. For the other two strains
22 depicted here, as the dose goes up, the multiplicity of the response goes up and this is
23 multiplicity of tumors.

24 (Slide.)

25 So let me just summarize where this is. All strains examined -- and we
26 have examined more than the three I have shown you -- are susceptible to the
27 development of hepatocellular neoplasms and they all go through this progression. I
28 do not know of any exceptions.

29 (Slide.)

30 There are strain differences that are present in terms of the sensitivity
31 and these strain differences exhibit a reduction or not in latency. Certainly a reduction
32 in the latency versus a control but there is a variability between strains in terms of how
33 quickly the tumors develop. And treated animals always seem to have more tumors
34 per liver than the controls.

35 (Slide.)

36 So there are some general conclusions to take away. All strains can
37 develop liver neoplasia. As I said before, it is reasonably common. All strains can
38 respond to hepatic carcinogens. I do not know of any exceptions. If you wait long
39 enough you are going to see the response and it will be pretty clear. Strain
40 differences are primarily differences in latency. The dose if it is a carcinogen you are
41 using influences latency and the multiplicity and sex differences, and I did not
42 mention this. Males get more of a response than females. Sex differences are largely
43 differences in latency also. The males will get neoplasms earlier.

44 (Slide.)

45 There is one additional conclusion that I think might be germane here.
46 If you terminate your study early you probably are not going to detect a response in a
47 strain that is not very responsive. So there are a lot of chemical bioassays that are
48 done in C57 Black mice and they are terminated at 18 months because at least some
49 regulatory authorities permit 18 month duration. At which point in time you usually

1 do not get liver tumor response in the C57 Black. And the sponsors of those studies
2 like that. We do all our studies for two years and I assure you that if the studies were
3 longer than 18 months in C57 Black there would be a few more responders than what
4 show up.

5 (Slide.)

6 I cannot say much about hemangiomas and hemangiosarcomas because
7 I think I restricted my comments mostly to those that occur in the liver but I will make
8 the point that they are considered systemic neoplasms. They are not considered organ
9 specific. They are related to the vascular system and the endothelial lining cells,
10 which can occur anywhere in the body. So we lump them all together. If we get
11 subcutaneous and uterine and splenic and hepatic and even brain hemangiosarcomas,
12 we look at those in totality rather than partitioned out by specific organ.

13 In the liver and in general in the body they are low incidence tumors
14 particularly in controls. There are some hepatic carcinogens that actually produce
15 them in the liver.

16 (Slide.)

17 Hemangioma in the liver is characterized by this low power
18 photomicrograph. Lots of spaces and there is a thrombus here that are separating
19 hepatocytes that are becoming atrophic and each of these linear arrays of atrophic
20 hepatocytes are lined by endothelial cells.

21 (Slide.)

22 The malignant tumors are more complex in their structure. The
23 hemangiosarcomas with a great deal of destruction of normal hepatic parenchyma. In
24 other words you do not see the atrophic hepatocytes. You can find solid areas
25 proliferating plump endothelial cells and a lot of degeneration of the adjacent hepatic
26 parenchyma.

27 (Slide.)

28 With respect to genetically engineered animals, and they are mostly
29 transgenic, and the development of hepatocellular neoplasia, in general I can say it is
30 quite similar qualitatively to what we see in conventional animals.

31 (Slide.)

32 There is a whole shopping list of possibilities here. I am going to just
33 summarize, and I am sure I missed some, too, what the primary distinction is in the
34 genetically engineered mouse hepatic neoplasia versus the conventional.

35 I said they are qualitatively similar. They typically occur within a
36 shorter period of time depending on which animal it is. There is an increased
37 multiplicity compared to conventional animals and there is often, almost exclusively I
38 almost want to say, marked dysplastic change in the hepatocytes that accompanies this
39 process, which makes it different from what occurs in conventional animals.

40 We do not know too much about hepatocellular neoplasia and
41 genetically engineered animals. Depending on what you are going to find in all of the
42 studies that you are going to be dealing with and presumably doing in the future, and
43 because part of the information I got before I came here used the word "hepatoma"
44 which I have not heard in so long, I thought that maybe some people need to just be
45 made aware that there are some classic references.

46 (Slide.)

47 I do have these listed out if somebody wants to get a copy of the
48 citation so you can find these. We abandoned the word "hepatoma" in the '70s and I
49 was surprised to see it again.

1 Thank you for your attention.

2 (Applause.)

3 DR. JOHNSON: Questions for Bob?

4 DR. CRAWFORD: A quick question. Could you -- although the
5 answer may be wrong, could you comment on molecular markers of premalignant
6 change because histology is the gold standard but clearly there may be things that you
7 can detect earlier? There may be.

8 DR. MARONPOT: In mice, yes.

9 DR. CRAWFORD: In mice.

10 DR. MARONPOT: Yes. Unlike rats. In mice we struggled with this
11 for a long time and the glucose-6-phosphatase deficiency was the primary marker that
12 was touted to allow you to identify a focus before you might be able to see it
13 otherwise.

14 First of all, that is a difficult thing to do. You have to have frozen
15 sections. Not everybody freezes tissues suitably for making sections. And our
16 experience has been the H&E is, in fact, just as reliable, if not more reliable, the
17 morphology is good, and we do not have any trouble finding H&E foci. So that is our
18 preferred marker so to speak even though it has been around for 100 years.

19 DR. KAY: I am going to get back to this question about these glucose-
20 6-phosphatase deficient individuals. I mean, almost 100 percent of those have hepatic
21 adenomas and many of them go on to get HCC. It is interesting -- although there is no
22 data -- that people argue that the mechanism may be due to insulin -- over insulin
23 secretion. It is a storage disease although it is different than this particular lysosomal
24 storage disease. So I guess is there any way to bring this mechanistically together?
25 Could this have something to do with the IGF II receptor or is this -- and the fact that
26 there is a lot of storage material in cells? Or do you think this is probably totally
27 unrelated?

28 DR. MARONPOT: That is a difficult thing to answer because you just
29 do not know sometimes if it is just spontaneous errors in DNA polymerase when the
30 slow turnover that is going on is occurring and that is generally what is -- unless you
31 have got a raging carcinogen that you have been testing and that is generally what
32 people invoke.

33 I would never rule out Randy's mechanism as a possibility but I cannot
34 explain that. I do not know what the good answer is for that question. It is a good
35 question.

36 DR. JOHNSON: Phil?

37 DR. NOGUCHI: A mundane question but -- it is unfair because you
38 only saw one histology slide but will you say that the histology that Dr. Sands showed
39 would be typical of this dysplastic hepatocarcinoma that is seen in the genetically
40 altered animals because it certainly did not look like any of the hepatomas -- I would
41 call them hepatomas. I am sorry. But hepatocellular carcinomas that you showed
42 which still retain a certain amount of architecture and regularity.

43 DR. MARONPOT: Yes. That was over exposed Kodachrome and that
44 was difficult to see. I strained and I thought that there was clear morphism in the
45 nuclear size but that was a difficult one for me to pick out. And it was a high power so
46 you do not really get to see much except a very narrow field. So I have to come
47 away having some question and, like most pathologists, I would say let me see the
48 glass.

49 DR. JOHNSON: Mark, do you want to say anything about that?

1 DR. SANDS: Well, I am not a pathologist but several of the low
2 powered sections that you had up there look strikingly similar to what we see on low
3 magnification and again I am not a pathologist so I am not going to make any
4 interpretations but I would be ecstatic if you would be willing to look at these slides
5 because, you know, Carol -- Carol is a very good pathologist. She has looked at these
6 mice for 12 years but she is not a mouse pathologist per se who specializes in tumors.
7 I would be ecstatic if you would look at them.

8 DR. MARONPOT: As a mouse hepatologist I would be happy to do
9 that.

10 (Laughter.)

11 DR. JOHNSON: A collaboration born. One more question.

12 DR. _____: Yes. Presumably in all these studies that you have
13 done you have used a number of different components, genotoxic, nongenotoxic, and
14 one assumes there is a lot of different vehicles that you have injected. What is the data
15 in terms of the shifts in terms of just instrumentation injection of various, say DSMO
16 or glycerol, other sort of vehicles actually has an impact on these tumors?

17 DR. MARONPOT: That is a good question. I am not sure I can
18 answer it completely so I will do the best I can. Most of our studies are dosed feed so
19 it is in the diet and certainly diet is a factor but our diet has been pretty consistent.
20 Gavage studies were initially predominantly corn oil and that caused some other
21 problems nutritionally actually so we have gotten away from that. And that leaves the
22 inhalation studies, which is an entirely different route. Those are the only -- well,
23 occasionally a few animals -- animal studies were done with drinking water
24 administration.

25 All of them produce hepatocellular neoplasms to about the same degree
26 and, of course, it depends on the carcinogen and the control animals also in the air
27 exposed or the normal diet have the normal component. We do run database historic
28 control data separated out by route of administration and for liver neoplasms, primary
29 liver neoplasms, there is not a lot of difference. There certainly is for other tissues
30 though.

31 DR. JOHNSON: Well, we are going to break for lunch now. We still
32 have lots of questions remaining on the agenda.

33 Lunch is downstairs. You were provided a ticket, I believe. You can
34 either eat a buffet and I believe you can order off the menu. The only constant is that
35 we have to be back at 1:15. Thanks.

36 (Whereupon, at 12:34 p.m., a luncheon break was taken.)

37 * * * * *

38
39
40
41
42
43

AFTERNOON SESSION
ROUNDTABLE DISCUSSION (Continued)

1
2
3 DR. JOHNSON: Well, we are ready to resume the roundtable
4 discussion and we are on to question number two that has five subparts to it.

5 (Slide.)

6 "Please discuss the factors that could account for the observed tumors
7 in the gene transfer study in the MPS VII mouse model, including (a) the AAV vector;
8 (b) underlying MPS VII disease coupled with increased longevity of the affected
9 mouse; (c) over expression of GUSB and saturation of the M-6-P receptor; (d) mouse
10 strain; and (e) age of mouse at time of transduction."

11 So those are the five points that we have got to discuss and maybe there
12 will be others added to that as we progress through that. So I am going to open it up to
13 discussion on the AAV vector as the positive agent in this model. Now we covered
14 some of that, I think, in question one.

15 So does anybody have any comments regarding -- any further
16 comments, I guess, regarding the role of the AAV vector? I do believe we have
17 covered a lot of that in question one.

18 DR. FLOTTE: I have just one point that I did not remember to make
19 when I gave the talk which was that one of the studies that we pulled together there
20 was a study that Nick and Al Lewen performed attempting to use the vector to
21 generate tumors. Did you want to talk about that, Nick?

22 DR. MUZYCZKA: We did two experiments. One was to make
23 ribosomes to RB3 and 21, and then make vector and inject those into newborn mice in
24 an attempt to essentially kill tumor suppressor genes and thereby create tumors. And
25 the second experiment we did -- in the second experiment we did a similar strategy but
26 this time we actually made a library of ribosomes with a complexity of about 50,000
27 ribosomes in the hope that we would get combinations of tumor suppressor genes and
28 create tumors.

29 And the third experiment -- and that is -- we did some 14 newborn
30 mice that way at very high systemic injections of vector and in the case of the RB and
31 the p53 ribosomes we had evidence from in vitro studies that those were effective and
32 we were not able to find any tumors. They wound up being part of the control animals
33 that you saw that Terry Flotte presented. No tumors of any kind.

34 The last experiment we did was in a very limited number of five -- four
35 actually -- p53 knockout mice. Again the idea here was could we go in with the
36 library in the p53 knockout background and create a tumor. And we did, in fact, get
37 some tumors but the -- when we examined the tumors they were first of all,
38 approximately -- showing up at approximately the time that you would expect. They
39 were lymphomas expected in normal p53 background. And the vectors had a GFP
40 gene in them as well as the ribosome library and the tumors were not positive for GFP
41 so they did not appear to be due to factor expression.

42 So we tried to make a tumor and we could not.

43 DR. SAMULSKI: To move things along, I think what is probably
44 appropriate is with all the data shown today, all the vectors that used the TR there is
45 no other examples of insertional mutagenesis with any AAV vectors, either in dogs,
46 monkeys or other rodents. The closest study that comes to Mark's analysis is the one
47 that Terry did where he has the same vector and the same promoter with a different
48 transgene and those are zero for 22, although the numbers are small. And so it looks
49 like we are getting down to the transgene in the model as the next thing that needs to

1 be evaluated as well as whether these numbers are sufficient to be able to conclude
2 that that TR promoter cassette is not a player.

3 DR. CRAWFORD: If I could put some additional data on the table to
4 support that. The reason I asked the question earlier about molecular markers is if you
5 are going to go the extra mile and see if there is any way you can detect premalignant
6 change, it might be worth trying to. And I should note that the human literature in
7 terms of detecting premalignant change for hepatocellular carcinoma is littered with
8 failed tests. That being said, we ran on these mice, which were the neonatal injected
9 13 months out, we ran ploidy analysis as well as PCNA, proliferating cell nuclear
10 antigen, and had absolutely zero indication of, if you will, premalignant foci that had
11 not yet become evident by H&E staining.

12 DR. JOHNSON: Mark?

13 DR. KAY: Getting into this issue of the model, I mean I was intrigued
14 by Bill Sly's data that there was a transgenic line that actually made more enzyme and
15 they did not get tumors and thus there was a discussion about insertional mutagenesis
16 in that particular mouse where there is an insertion into every single cell.

17 But my question is related to the issue could you make so much
18 enzyme that you are getting more crystals? I mean, between those two lines do you
19 get more crystallization such that these molecules would not be available for uptake by
20 this IGF II receptor and, therefore, be less susceptible if we accept the receptor as a
21 possible model.

22 DR. SLY: I think it is important to know that there are two mice, two
23 lines. One that -- both which have transgenes which are inserted. And one of which
24 leads to tumors and one of which does not. So enormous levels of over expression of
25 the enzyme itself does not lead to tumors because the line which expresses the highest
26 does not get them. It is the other line which expresses slightly lower that does get
27 them.

28 DR. KAY: Do those animals get more --

29 DR. SLY: Sorry.

30 DR. KAY: Do those animals have more crystallization in those tissues
31 that over express, the ones that do not get tumors, because they are making more?

32 DR. SLY: I think the pathologist -- the same pathologist, Carol
33 Vogler, she could not distinguish histopathologically in most tissues between the
34 tumors and the -- I mean, between the tissues and the two -- in most tissues. We did
35 most -- actually we spent most of our time analyzing the highest level producer.

36 DR. KAY: Is there anything in the serum?

37 DR. SLY: There is 2,000 times normal levels in serum in both
38 animals.

39 DR. KAY: Do you know what the copy number is?

40 DR. SLY: About four.

41 DR. KAY: Four.

42 DR. _____: Mark, on that same issue, the fact that there is
43 soluble enzyme in the serum would suggest that there is enzyme available to bind the
44 Man-6 phosphate receptor in addition to the stuff that is crystallized.

45 DR. JOHNSON: In the back?

46 DR. DAWES: Yes. One thing I have noticed is that there is no
47 discussion of the AAV capsid as a possible contributor and there actually is sort of a
48 crazy concept I have been developing. There has been a recent report at a parvo virus
49 meeting which has not been published yet by Zadori, et al., that parvo virus capsids

1 may have phospholipase A2 activity.

2 Now with the MPS VII there is a high amount of heparin sulfate so it is
3 possible that you are bringing in more capsid in these cells than you would for other
4 disease models. And there might be some sort of overdose of PLA2, which is known
5 to be involved in cell proliferation cascades and things like that.

6 DR. JOHNSON: That is an interesting thought. I certainly had not
7 come up with that thought so we need to write that one down.

8 Jude?

9 DR. SAMULSKI: I should just add there is now reagents that could
10 test that, Roland, if you wanted to pursue it. There are empty capsids carrying out
11 genetic information that could be tested.

12 DR. JOHNSON: Phyllis?

13 DR. GARDNER: If I go back to the final talk, I am not sure how to
14 pronounce your name, Dr. Maronpot, it seemed to me that this transgene with this
15 construct, with this method of delivery, you would define that particular
16 administration as carcinogenic. That looked classically carcinogenic. We do not
17 know what factor in there is the carcinogen.

18 So then I was going back to every other administration and Terry Flotte
19 -- I guess I heard that Terry has the 22 mice with the same construct, different
20 transgene. What strain -- and the other thing I heard from Dr. Maronpot was that it is
21 strain specific in terms of latency, et cetera.

22 So how long were the -- how long were Terry Flotte's mice followed
23 and what strain were they, and what sex? I think all those things matter in terms of --

24 DR. JOHNSON: Terry seems to have disappeared.

25 DR. GARDNER: That is bad.

26 DR. MARONPOT: Maybe I can make a comment, too, apropos to
27 some of those comments. I might take it a step further. This is a little naive maybe
28 but it would seem to me if we had some concern about the safety of the vector, just the
29 vector, why not test it in a sufficiently sensitive strain that -- because you suspect a
30 liver problem for a sufficiently long period of time and make sure they do not have
31 helicobacter or something else that is going to synergize or confound the
32 interpretation? It seems to me that that might be a first step if somebody would be
33 willing to do that.

34 DR. JOHNSON: Terry, Phyllis was asking about the strain of mice
35 that you are using and length of time they were kept.

36 DR. FLOTTE: Yes. The compiled data in that slide, which I think
37 Kelly was going to pull off the computer and print out for people, included several
38 different strains of mice. Most of our neonatal injected mice were Black and Tan
39 BTBR strain. We also had some of the -- I believe it was some Black-6 mice that
40 were injected as neonates but I will have to double check that. Then we had a large
41 number of C57 Black-6. We also had some BALB-C. We also had some of the
42 Pompei's disease from Barry Byrne's work, which is the GAA knockout mice that I
43 believe are on the 129 background. So it is probably four or five different strains at
44 least and that was in the table.

45 As far as how long they were followed, in the summary table we
46 pointed out that we started with -- we had a total of 137 mice. We had, I believe it
47 was, 51 mice that were past -- that were 11 months or longer and then 39 mice that
48 were at 13 months or longer. And within those there were ones at and beyond 18
49 months. And then that broke down the number that Jude focused in on. In terms of

1 the 22, those were the newborns that went out past 13 months.

2 But obviously if they were injected, as many of them were, at three
3 weeks and then followed for -- out to 18 months or longer, their duration of exposure
4 was comparable and so that was why we were looking at the 51 or the 39. The
5 duration of exposure -- we broke them out as well in case someone was interested in
6 the issue about whether the neonate at the timing of vector exposure would have been
7 a relevant issue, not just that it was three weeks longer of vector exposure in terms of
8 developmental issues.

9 DR. SAMULSKI: Terry, can I just get you to add to that some
10 information? If this was a toxicity type situation related to vector dose, were your
11 animals dosed at a higher or lower dose than what Mark Sands' animals were?

12 DR. FLOTTE: There was a -- the newborn IV injected animals --

13 DR. SAMULSKI: The newborns.

14 DR. FLOTTE: -- were at about 50-fold higher dose.

15 DR. SAMULSKI: So you had a 50-fold higher dose.

16 DR. FLOTTE: Let me rephrase that. The higher dose group -- the
17 newborns were about half of that dose and then about half maybe at a tenfold higher
18 dose.

19 DR. SAMULSKI: But everything was higher.

20 DR. FLOTTE: Several of the cells in the table included different dose
21 levels. Obviously we are looking for dose related biological efficacy as well as dose
22 related toxicity.

23 DR. SAMULSKI: So, you know, if you try to tie some of the
24 toxicology data with compounds with what you have done without intentionally trying
25 to test this premise, the dose that Mark used where he saw tumors was a lot lower than
26 the dose you used in which you have not observed any kind of tumors.

27 DR. FLOTTE: Right. So if it is important -- I mean, obviously it is an
28 important issue for any toxicologic effect that you would -- most of them you would
29 predict would be dose related and this was not.

30 DR. SAMULSKI: Right. So I think it focuses back --

31 DR. GARDNER: It seems to me -- I do not mean to interrupt but it
32 seems to me that it behooves one to do the experiment now prospectively to look at it
33 strain specific, dose specific and latency specific before you finalize it because it is
34 just too mixed up, all the day, even though it sounds reassuring.

35 DR. FLOTTE: Well, you know, I just want to point out -- I mean, you
36 know -- I thank Phyllis for bringing that up. I mean, you know, we had a series of
37 meetings a couple of years ago where we were trying to design just such a prospective
38 study and, hopefully, Anne Pilaro will have a chance to comment on what some of the
39 elements of the design of that study would be.

40 At the time a couple of years ago it was felt that it was -- we would
41 look selectively trying to pay attention to integration, trying to keep all our data in a
42 way that could be pooled, and the NGBL has taken a lead in creating a mechanism to
43 pool the data so that when situations like this came up, we would be able to sit around
44 the table and compare data in a meaningful way.

45 Maybe the time has come to -- you know, which we thought might
46 have been premature a couple of years ago, the time has come now to do a large
47 prospective study. Obviously it is the only way we will really have a definitive
48 conclusion.

49 DR. JOHNSON: Phyllis, does that answer your question?

1 DR. GARDNER: Yes.

2 DR. JOHNSON: Any other points on this particular issue? Okay.
3 Let's move to number -- oh, sorry.

4 DR. SLY: I just think in view of the large strain differences in
5 sensitivity you cannot make an N of 43 when you have six strains. It is an N of -- it is
6 six N's of about five or six animals.

7 DR. JOHNSON: Well, put. That is exactly right.

8 DR. MARKERT: If you are discussing question number two, are there
9 any other issues, I think the issue that came up --

10 DR. JOHNSON: Actually we are on number (a), AAV vector, under
11 that is where we are.

12 DR. MARKERT: Okay. I have a new one I will add later.

13 DR. JOHNSON: Okay.

14 DR. FLOTTE: Could I just respond to Dr. Sly's point? It will be
15 important for people to see the data because that is not really the way it plays out. I
16 mean, these were individual experiments done in different ways so actually when you
17 look at the long-term animals, there are clusters within individual experiments that
18 were intended to go long-term.

19 So, for instance, out of the 22 long-term neonatal IV animals that we
20 have, I think 18 of them were from one particular Black and Tan newborn IV study
21 with the CB promoter alpha 1AT vector done at 50 times higher dose. So actually
22 they are not randomly distributed between the groups so a lot of the dropout in our
23 dataset going from the total number of liver exposed animals to the very long-term
24 were where entire studies were terminated by the PI to look at other endpoints.

25 DR. JOHNSON: Okay. Let's move to the second point, which is
26 "Underlying MPS VII disease coupled with increased longevity of the affected
27 mouse." So in other words is the disease itself coupled with making these mice live
28 longer responsible for the observed tumors?

29 Mark, why don't you tackle that one?

30 DR. KAY: I think it is hard to argue that with the protein therapy and
31 the bone marrow therapy that making the animals live longer makes them prone for
32 these tumors because you have two completely different routes of therapy and there
33 does not seem to be this tumor incidence unless the animal model people want to add
34 some specifics.

35 DR. SANDS: I agree. I mean, again we do not know -- obviously we
36 do not understand the mechanism but this is one thing that made all the bells and
37 whistles go off when we first discovered these tumors, was that we have been studying
38 this -- it is not like we made this model a year or two ago. We have been studying this
39 model for well over 12 years with lots of different therapies, lots of different
40 approaches, and we have never seen anything like this.

41 So I think the simple explanation that they just live longer is not one of
42 my favorite hypotheses, although again the comparisons are apples and oranges.
43 Different routes of administration, different cells have enzyme in it, different levels at
44 different times. There is not a perfect comparison. The perfect comparison would be
45 to let an MPS VII mouse live for two years. They do not live that long. So short of
46 that I am not sure what -- how to get at that answer.

47 DR. JOHNSON: Any comments?

48 DR. KAY: I mean, I agree. I mean, if I look at all this data together --
49 I mean, to me, it seems like it has something to do with this specific over production

1 of a large amount of enzyme in a very small number of hepatocytes. I mean,
2 obviously with -- if you look at all the different experiments they are not all well
3 controlled because they were done at different times in different labs. But essentially
4 if for a minute we assume that Terry's data controlled for the promoter enhancer -- he
5 also injected huge amounts in neonatal mice, saw no tumors -- there has been a
6 plethora of data where people have injected large amounts of AAV in the liver and not
7 seen tumors. There is this transgenic mouse issue and the bone marrow issue, and you
8 do not see tumors.

9 If you look at the one variable that seems to be suspect, it is the issue of
10 possibly expressing a lot of protein from a very small percentage of cells. And at the
11 time when we were thinking about all this, I would not have any reasonable way to
12 explain it but when I hear Randy's data it makes sense. Whether or not it is true or
13 not, I just -- it needs to be tested.

14 DR. JOHNSON: Yes. I think it is also worthwhile to think about
15 another aspect and that is that this is a foreign transgene. This is not the mouse GUS
16 gene. We know, I think, from Bill Sly's data that they are probably making immune
17 response.

18 Do we know whether these mice are making immune response?

19 DR. SANDS: In this particular experiment we did not analyze the
20 immune response in this particular experiment but in previous experiments with
21 recombinant enzyme therapy and in our first report using intravenous AAV
22 administration we looked for antibodies. We have never seen antibodies in this animal
23 if you initiate the therapy at birth.

24 Now, as Bill pointed out, if you initiate the therapy in a young adult or
25 an older animal, you do get antibodies made but everything we have ever done in
26 newborn animals we have never detected any antibody response.

27 DR. KAY: I just want to add a caveat. Something we were discussing
28 earlier is that the immune response to protein delivery versus protein being made in a
29 cell is going to be different and if you are using bone marrow or transgenic mice the
30 immune response is probably tolerized. So I think that, you know, the cells that are
31 actually making the protein and how much is being made and where it is being made
32 is going to influence this and nobody knows in this situation.

33 DR. SANDS: But in our first AAV study we did not see any
34 antibodies and it is basically the same. I mean, the results are really very similar.
35 Similar -- it looked like similar levels of expression in each hepatocyte and that sort of
36 thing. We saw no antibody response.

37 DR. KAY: But there is other immune responses besides antibodies that
38 if they are -- if it is responsible for tumors at some level, it may not be antibodies.
39 There are cell mediated immune responses. There are lots of other types. So just to
40 keep it in mind.

41 DR. JOHNSON: Yes. I think that some of the inflammation that you
42 are seeing could well be lymphocytes that are directed towards epitopes that are not
43 native to the mouse and I guess I am a little surprised that in your first study you did
44 not find antibodies to beta glucuronidase and you said you did not because in every --
45 in almost -- in every single experiment that we have ever done with AAV that we have
46 put in, we have not done neonatal mice, the caveat, but in any other species that we
47 have ever put it in and the transgene is foreign, we get a tremendous immune response
48 to the transgene.

49 DR. SANDS: Sure. And we were actually surprised when we did not

1 see an antibody response especially to the enzyme replacement studies because we get
2 huge boluses of enzyme and, you know, they are -- and we give it continually so
3 basically we just keep priming them. And if we start at birth we do not see it. We
4 never have.

5 DR. SLY: There is an important caveat there. All those enzyme
6 replacement studies were done with the mouse enzyme.

7 DR. JOHNSON: That is important, yes.

8 DR. SANDS: It is important but the mouse also probably does not
9 express any protein. It has virtually no RNA so it does not take much to create.

10 DR. CRAWFORD: Mark, when you talk about the expression of the
11 enzyme as being uniform across the liver, that is uniform in its sporadic nature, is that
12 correct?

13 DR. SANDS: Yes, that is correct. It is not in every cell. It is in
14 isolated cells uniformly around the liver if that makes sense.

15 DR. CRAWFORD: And the next question is have you run
16 immunohistochemistry or in situ on the tumor tissue?

17 DR. SANDS: We have done our histochemical stain on one of the
18 tumor samples and the data are hard to interpret. The endothelial looking cells are
19 very -- have a lot of enzyme activity associated with them, which could be just uptake
20 from the serum. There is an awful lot of enzyme activity in the serum.

21 The hepatocytes stain faintly for the enzyme. Again my interpretation
22 of that is that those hepatocytes are taking that up from the serum also because when
23 see a cell that we think is transduced it is just producing an enormous amount of
24 enzyme activity.

25 DR. JOHNSON: You know in situations where there is chronic
26 inflammation in the liver you could create islands of inflammation that would lead to
27 conditions for developing hepatocellular carcinoma. So I think if you have got an
28 immune response that it is worthwhile thinking about. So one of my questions would
29 be would it be worthwhile to do this using the GUS gene, the mouse GUS gene rather
30 than the human GUS gene and see if, in fact, there is a difference in the outcome of
31 the experiment with the caveat -- you know, all the caveats aside about how difficult
32 they are to breed and the money. Money is no object here, right? So I think that is
33 worth exploring. I mean, it is a testable hypothesis that could be really looked at very,
34 very cleanly, I believe, and I think that is something worth looking at.

35 Jude?

36 DR. SAMULSKI: Can I make a different suggestion? I think Bill has
37 provided the excellent model. The one that has the human gene in there as a mutated
38 form that clearly does not generate antibody would allow Mark or someone to go in
39 with the exact same vector that has caused tumors and very exquisitely determine if
40 the immunological component is what is responsible. And clearly we want to
41 reproduce the data in the original animals but this animal would take out that whole
42 concern without changing any vector transgenes or anything. I think that is a
43 recommendation as far as if we want to try to resolve this experimentally.

44 DR. SANDS: I think the only caveat there is, as Bill showed in his
45 slides, these mice now have a beta glucuronidase storage deficiency and I am not sure
46 how that would affect.

47 DR. SLY: Sorry, Mark. It is the first transgene which makes a tiny --
48 a relatively small amount of that enzyme. It has no -- it has MPS VII but it does not
49 have the over production disease. So that is why we made that mouse to be a

1 candidate for trying things that would be used in human trials.

2 DR. KAY: I am still intrigued by this receptor issue and I wondered if
3 there are any other lysosomal storage disease animal models in which the enzyme is
4 normally taken up by this receptor and whether as an independent experiment
5 somebody could over express that in AAV and whether that would be useful or not.

6 DR. JOHNSON: I want to point out that we are moving to question (c)
7 with that segue.

8 DR. _____: I think I can answer Mark's question. So as Terry
9 alluded to, some of the animals in the UF experience were a glycogen storage disease
10 Type 2 mice. They are deficient in acid alpha glucosidase. They have not been
11 observed to develop any tumors. It is not part of the natural history of a disease. And
12 in mice either transgenics on the knockout background or vector treated mice, we do
13 not observe this phenomenon.

14 DR. _____: (Not at microphone.) (Inaudible).

15 DR. _____: Yes.

16 DR. SLY: What is the N? And the period of observation?

17 DR. _____: Well, the transgenic mice are probably a year old by
18 now. The vector derived mice have been out past this 13 month time point.

19 DR. KAY: So this is AAV?

20 DR. _____: Yes.

21 DR. KAY: How many mice, Barry?

22 DR. _____: There have been about two dozen mice in the vector
23 experiments, both adults -- and the ones Terry referred to are neonates. And in the
24 transgenics there is a colony of about 30 mice. Both express from liver and from
25 muscle. So we have two regulatable transgenes either specific to liver or muscle. So
26 they over express acid alpha glucosidase at about 200 times the background level.

27 DR. SLY: I am a little confused here. Could you clarify what you
28 mean? You are doing this on a knockout background?

29 DR. _____: Right.

30 DR. SLY: And you --

31 DR. _____: So they are GAA- minus mice, which have been
32 mated with transgene -- with transgenic mice also on the knockout background and
33 they are expressing either a -- they are through the TEC control system expressing
34 either a liver specific or muscle specific transactivator. And the third line has the
35 TRE control acid alpha glucosidase.

36 DR. SLY: Okay. But I think those are interesting for other reasons but
37 I do not think they are relevant to this.

38 DR. _____: No. The question was whether over expression of a
39 lysosomal enzyme, which is targeted to Man-6 phosphate receptor, is related to a
40 problem. So these mice have serum levels of acid alpha glucosidase, which is not
41 normally observed in the serum.

42 DR. SLY: How high are they?

43 DR. _____: What is that?

44 DR. SLY: How high?

45 DR. _____: The serum levels -- you know, we cannot relate
46 them as percent of normal. They are sufficient to produce in the tissues a 200-fold
47 over expression of the enzyme.

48 DR. _____: That is pretty impressive.

49 DR. JOHNSON: Over here?

1 DR. GORDON: Yes. Just a thought that might be useful to point out.

2 DR. JOHNSON: State your name and affiliation.

3 DR. GORDON: Dr. Gordon from RAC. I am a RAC member.

4 There are a couple of transgenic models where proteins have been
5 expressed at extremely high quantities from hepatocytes using like albumin promoter
6 enhancer complex where because they are germ line integrations immunology is not
7 likely to be a factor and these mice get hepatocellular carcinoma. One case is the
8 hepatitis B surface antigen which is billed as a model for hepatitis B induced
9 hepatocellular carcinoma even though the issues are slightly different because the
10 animal does not have the same florid immune response that a human does that is
11 infected with hepatitis.

12 The other is alpha 1 antitrypsin deficiency where there is a mutation in
13 the alpha 1 antitrypsin gene which inhibits its release from the hepatocyte, although
14 the genes still function, those animals also get hepatocellular carcinoma. There is not
15 an immunologic mechanism there so it is not entirely clear what is going on. When
16 you make a lot of protein from inside of a hepatocyte, in that particular paradigm it is
17 associated on at least two other occasions with hepatocellular carcinomas.

18 DR. KAY: But in that allele of alpha 1 trypsin deficiency those livers
19 are undergoing some chronic regenerative changes because the accumulation of that
20 protein is a cell lethal event similar to what happens in tyrosinemia type 1 where those
21 animals all develop HCC and so do the patients.

22 DR. GORDON: Well, it is an irritant. I am not so sure that the
23 causative mechanism is that it actually kills the cells because in the hepatitis B surface
24 antigen mice I am not sure how many are killed but it is also true that the prodrome of
25 both of those strains is inflammatory changes in the liver, reactive changes, alpha fetal
26 protein production, new mitotic activity in the liver, even cells that do not seem to
27 ultimately contribute to the foci of carcinoma. I think actually in these mice of your's
28 AFP studies would possibly be informative.

29 DR. JOHNSON: Jim?

30 DR. CRAWFORD: Assuming that we have actually segued now to the
31 third part, I would like to put a hypothesis on the table and ask for Randy's comment.

32 To the extent that there is high local and sporadic production of the
33 transgene, we also know that adjacent hepatocytes can take up the enzyme, and it is
34 conceivable that a high local producer, a high local hepatocyte producer could, in fact,
35 induce a second hit on neighboring hepatocytes which are not carrying the transgene.
36 To the extent that both the producer and adjacent hepatocytes undergo clonal
37 expansion you could explain the low but positive virus copy number. In essence,
38 having a field effect where an apparently single hepatocellular carcinoma is, in fact,
39 arising from a field effect in a local area. One cell of which is positive, other cells of
40 which are negative for the transgene.

41 DR. JIRTLE: Yes, I mean all this is possible. Because if you are
42 occupying the receptor by something other than what it is normally seeing high levels
43 of, you are going to affect the ability to degrade IGF II, for example, or activate TGF
44 beta, or as I said before, even if that cell is mutated or such that it is being targeted for
45 being eliminated by cytotoxic T cells, it might not take up granzyme B as readily and,
46 therefore, you evade the immune response.

47 These are all hypothetical but they are real. I mean, you do not need
48 actually a mutation in the gene if you swamp that receptor locally.

49 DR. CRAWFORD: But it is a potential explanation for the data we

1 have seen today. It is a bit far fetched.

2 DR. KAY: You still have the problem potentially with the over
3 producing cell lines, I think. Why don't they hit that one line? Are those in the same
4 genetic background?

5 DR. SLY: Yes. They were actually made on an FVB transgenic
6 background and then bred ten generations on B6.

7 DR. CRAWFORD: Both of them?

8 DR. SLY: Yes, right.

9 DR. KAY: But remember the --

10 DR. SLY: Actually I should say the tumors developed earlier on
11 before they were completely on B6 background.

12 DR. KAY: But remember the point that the liver cells -- the
13 hepatocytes are only making seven-fold higher levels.

14 DR. SLY: No, I think I agreed with you but I was wrong. they are
15 making 700-fold. They are not making 8,000-fold but they are making 700-fold.

16 DR. KAY: Okay. Because I thought the slide said seven.

17 DR. SLY: Did it say seven-fold?

18 DR. KAY: It said seven.

19 DR. SLY: Oh, I am sorry. Okay. All right.

20 DR. KAY: So the point is with AAV, like for example with
21 hemophilia, you know, we have data that the amount of Factor IX may -- you can do
22 some rough calculations -- is about 50-fold higher than what it would normally make
23 or above that.

24 So I would suggest that possibly in these AAV treated mice that the
25 amount being made per transduced cell is probably much higher than seven-fold.

26 DR. SAMULSKI: You know, the model is attractive but I think it is
27 going in a direction that we cannot answer. One is the protein therapy where they are
28 putting in enormous amounts of protein should mimic the exact same thing as a
29 neighboring cell pumping out a lot of product.

30 DR. SANDS: No. No, because you are getting these huge peaks and
31 valleys. What we have shown is when you do an intravenous injection the enzyme is
32 cleared very, very rapidly. So, in fact, you probably do swamp out the receptors very
33 quickly but then it is gone. And the situation that we are describing here is a continual
34 exposure of the receptor.

35 DR. SAMULSKI: I like that model.

36 DR. CRAWFORD: Order of magnitude also becomes an issue here
37 because in quantitative work that we have done on the alpha 1 antitrypsin model it is
38 less than five percent and sometimes only one to two percent of hepatocytes that are
39 expressing, number one. So that is a twofold order of magnitude -- sorry, 100-fold
40 change right there.

41 And then the next is how much is an individual cell expressing? And
42 we do not have that data but you can go up orders of magnitude fairly quickly.

43 DR. KAY: The real time PCR data would suggest that the number of
44 transduced hepatocytes is very low and the other point that you had brought up about
45 the expressed cell kind of coming along or also proliferating, I think is less likely
46 because two of the four tumors do not have any AAV.

47 DR. CRAWFORD: Right, which means -- I mean, if we were to play
48 this out -- in two cases the expressed cell also went clonal and in the others it did not.

49 DR. KAY: Except it is still one-tenth or less, one -- you know, fiftieth.

1 Yes.

2 DR. CRAWFORD: Right.

3 DR. JIRTLE: I was just going to make one more addition that -- I do
4 not know if it helps or hurts but we also looked at tyrosinemia patients that developed
5 hepatocellular carcinomas. They are relatively rare and I think we have been able to
6 get 12 tumors that we were able to look at and every one of them had the IGF II
7 receptor mutated, 100 percent.

8 DR. JOHNSON: Also, let's suppose that it turns out to be this receptor
9 mediated phenomenon, make the point again, Randy, about the difference between a
10 human and a mouse.

11 DR. JIRTLE: Yes. I made that in my talk but I guess i can do it again.
12 If this ends up being the mechanism it is going to be much harder to swamp this out in
13 humans than in mice because you have two copies that are totally functional.

14 So this might be -- that is why I started -- that is why I started off this
15 thing -- my talk with a story, which ends up being sort of like a joke, you know. Is
16 there a problem with mouse liver tumors? It might be that this is actually just a
17 problem with mouse liver tumors even if we knew what it was because we are really
18 very different from the standpoint of this locus. We have two copies that are
19 functional.

20 DR. BREAKFIELD: That does not seem to me -- just in terms of
21 numbers, when you bring a vector in you are expressing so much of this enzyme and
22 now you are talking about just a twofold order of difference but you are talking about
23 making 50 times as much of the enzyme. I do not see how that twofold difference
24 would make a big difference.

25 DR. JIRTLE: Yes, but apparently a loss of a single allele just even in
26 carcinogenesis is a lot --

27 DR. BREAKFIELD: But that is at low levels. We are talking now
28 about elevating them up very high so then you are swamping out, right?

29 DR. JIRTLE: Yes, but what I am saying is that when the liver tumor in
30 that environment of chronic cirrhosis there is a lot of inhibitory factors that are being
31 produced there also. And these cells do get a growth advantage just by losing a single
32 allele. So my point is with this receptor -- you know, it is like a quarter inch on the
33 end of your nose that really is very important. I think it is maybe the same thing here.
34 Losing one allele is very, very important. Much more so than what you would think.

35 DR. JOHNSON: In the back?

36 DR. _____: Yes. At the risk of seeming very naive in the
37 presence of this very sophisticated theoretical discussion, I remember being taught in
38 medical school that when you get an unexpected laboratory result the first thing that
39 you do is to repeat the test. And it seems to me that what it behooves the entire field is
40 to have another lot of the exact same virus made, the exact same AAV vector, and put
41 into another group of the exact same strain of mice, and see if the results are repeated.

42 Because as far as I can see -- I mean, from what I have heard it is not
43 clear to me that this product was made up to GMP manufacturing standards, which
44 means that it was probably not exhaustively tested for adventitious agents. I mean,
45 exhaustively tested. And it could be something as simple as that that is causing this
46 phenomenon.

47 This discussion, while very illuminating, may be irrelevant to AAV.

48 DR. MUZYCZKA: Yes. Let me agree with you. Okay.

49 (Laughter.)

1 DR. MUZYCZKA: On the first point I also when I look at the
2 numbers here think that we need to replicate this experiment and your point is
3 extremely well taken.

4 There could be a variety of reasons. We mentioned helicobacter in an
5 animal colony and you mentioned a potential contaminant in the particular preparation
6 and virus, and all those are very reasonable. We may never see this effect again if we
7 repeat the experiment.

8 On the question of the virus preparation's particular stock, you are
9 absolutely correct. This was not -- this was -- I guess you can call it a GLP prep. It is
10 not a GMP prep. We did not exhaustively test it. It was a preclinical prep for not even
11 a tox study at the time. So there was no reason to do extensive testing on it.

12 DR. FLOTTE: Can I just reinforce the last point that Nick made is that
13 this -- I mean, obviously this study was not designed to determine whether there is a
14 potential carcinogenesis risk from recombinant AAV. I mean that is a question most
15 of us have been interested in but most of us have been engrossed in trying to test the
16 feasibility of this system to cure diseases in animal models as Mark successfully did in
17 the first part of his work.

18 So the study was not designed for this and I think many of us feel very
19 strongly that it needs to be repeated prospectively with all the appropriate controls
20 considering some of the discussion that goes on here and then perhaps other long-term
21 carcinogenesis studies that are more -- that are designed to be more generalizable
22 certainly also need to be done. Although I would -- I personally think this discussion
23 today is very useful because this study will take two years after injection of the virus.

24 We have clinical studies going on currently and, you know, it will
25 obviously take us a while before the virus is injected even if we ran back home today
26 to -- all of us -- start working on our parts of this.

27 DR. JOHNSON: You said a magic word and that is "carcinogenesis."
28 And I was wondering if Anne Pilaro would like to say a few words about AAV and
29 carcinogenesis. I know that she is ready.

30 (Laughter.)

31 DR. PILARO: Actually I was not going to address AAV per se but
32 more appropriately maybe for this audience is the timing of when carcinogenesis
33 studies are expected and why you do them. What are the red flags that will do them.

34 (Slide.)

35 Thank you, Terry.

36 I just basically put together a few slides last night when I was thinking
37 about this, realizing that probably the majority of this audience really does not
38 understand or is familiar with carcinogenesis assays, why they are done, why the
39 rodent bioassay is so important, and how it fits in with the field of gene transfer.

40 So what I really wanted to do is just give you sort of an overview and
41 let you know that there are guidance documents available that tell you how to
42 determine the need for carcinogenesis studies, how to select the dose to go forward in
43 the study, and the timing of those studies, when they need to be conducted prior to
44 what phase of clinical development you are in.

45 (Slide.)

46 The objectives or the purposes of doing the carcinogenesis studies are
47 really to identify tumorigenic potential of an agent in animals before you go into large
48 scale populations in the human. What these studies consist of is really a lifetime
49 exposure from juvenile age of the animals, which is usually started about four to six

1 weeks of age, all the way through senescence. They are usually done for two years.

2 Doses are selected so that they are at or greater than human exposure.
3 Now there is a guidance document on selection of doses that really applies to small
4 molecular pharmaceuticals that tends to go for exposure levels based on human versus
5 animal AUCs or pharmacokinetics data showing exposure.

6 That is not always feasible to do for the biologics and it probably will
7 not be feasible to do for gene transfer vectors so what we really want to see is levels of
8 exposure that would be approximately equivalent to or some magnitude greater than
9 the human.

10 These are -- eventually when you get the data from these you want to
11 do a risk assessment and be able to determine what is the relevant risk to humans out
12 of the studies you have conducted.

13 (Slide.)

14 The need for carcinogenesis studies is defined in the ICHS1A
15 document. The citation is down at the bottom. This is really determined by the
16 intended clinical use and the document states that if the intended use is going to be
17 continuous for at least six months you need to do carcinogenesis studies prior to
18 marketing approval.

19 It is also expected that for use of products that will be six months or
20 greater on an intermittent basis such as the agents for chronic rhinitis or for
21 depression, some conditions like that, the carcinogenesis studies will also have to be
22 available prior to marketing.

23 The other time that you would expect to see carcinogenesis studies is if
24 the delivery system results in a prolonged exposure. For example, you have a depot
25 mechanism where the drug is released slowly over time.

26 Now these documents, I will give you the caveat, they were written for
27 small molecular pharmaceuticals but many of the principles do apply to biologics and
28 to the gene transfer. We just have not seen enough of these products go far enough
29 that we have really seen studies that become relevant.

30 (Slide.)

31 Now I mentioned there are a couple of red flags that are raised that will
32 absolutely require you to do carcinogenesis studies. If your product has unequivocal
33 genotoxicity when it is tested in the standard battery of mutagenesis assays, and that
34 includes mouse lymphoma transformation assay, the AMES assay, bacterial
35 mutagenesis assay, and the in vivo micronucleus assays, if you have unequivocal
36 genotoxicity there, you will have to do carcinogenesis -- full carcinogenicity studies
37 before you can even get started in Phase I clinical development.

38 Other red flags that raise what we call "cause for concern" are if you
39 have a previous demonstration of carcinogenic potential in a related product in that
40 same class or if the structure activity relationship of your product suggests there may
41 be a risk, or if you have seen evidence of preneoplastic lesions in repeat dose toxicity
42 studies that you have done up to that point in time in your clinical development
43 program, or if you show that you have long-term tissue retention of the parent
44 compound or of its metabolites that are leading to either some pathology or some
45 change in the tissue where they are being retained.

46 These are what we call the "cause for concerns" that raise the red flag
47 that says you need a carcinogenesis study before you can go further.

48 (Slide.)

49 The timing of the carcinogenesis studies is addressed in another ICH

1 document, the M3 nonclinical safety studies. This tells you when studies are expected
2 prior to what phase of clinical development you are going to.

3 For carcinogenicity studies, they are not usually required prior to Phase
4 I of development unless you hit one of those red flag cause for concern criteria. They
5 are usually conducted concurrently with your pivotal or Phase III trials prior to
6 marketing approval so that the data are available at the time that you have a licensing
7 application submitted to the agency.

8 In some serious or life-threatening indications where there are no
9 alternative therapies, these studies may be made as part of your post-marketing
10 commitments or your post-approval studies. And in that case the lack of
11 carcinogenicity data is addressed in the labeling for the product at the time of
12 licensure. And these studies would be expected to be completed at some point in time
13 after marketing.

14 (Slide.)

15 I just want to switch a little bit here and talk about some of the design
16 of carcinogenicity studies and we do actually have a statistician sitting at the table who
17 can maybe do some of the numbers for you guys and tell you what we are really
18 looking at here.

19 But typically for small molecule pharmaceuticals, the carcinogenicity
20 studies are done in two rodent species and it is usually rat and mouse. At minimum
21 you expect to see 50 to 60 animals per sex per dose group treated.

22 And the reason I have "minimum" highlighted is frequently the upper
23 dose levels may have significant toxicity and you see mortality. Once mortality drops
24 so that you have maybe 40 percent of your starting animals remaining viable at the end
25 of the study the validity of the assay comes in question so you really want to start with
26 enough animals so that you have enough animals left at the end of the two year period
27 to make a significant assessment of tumor frequency.

28 For dosing you want at least three dose levels, one of which is no effect
29 level, in the toxicity studies. One which has some toxicity associated with it and one
30 either at -- for small molecules the accepted level is 25-fold the human AUC by the
31 pharmacokinetics assays or at a maximally tolerated dose that the animals -- a certain
32 percentage of them will survive the treatment.

33 This is lifetime exposure. It begins very early. I mentioned earlier four
34 to six weeks after birth. It continues typically daily dosing for the two year duration of
35 the assay. At the end of the assay the animals are sacrificed. Every tissue is evaluated
36 by gross pathology. Every tissue is weighed and they are evaluated histologically for
37 evidence of tumors. So this is a very large, very resource intensive study.

38 Because of the recognition that there are limitations of these studies
39 and because they do get very large and very resource intensive, there has been
40 discussion about the use of alternative short-term models. For example, the p53
41 knockout mouse as alternatives to doing a full two-year bioassay. However, these
42 assays are currently being validated and they have not been totally accepted for
43 validation right now.

44 The current standard right now is that if an alternative assay is to be
45 used, it should be followed up with a rodent two-year bioassay in just a single rodent
46 species, either rat or mouse, whatever your dose ranging in your long-term toxicity
47 study shows to be more sensitive.

48 And these parameters are outlined in the ICHS1B, Rodent
49 Carcinogenicity Study, document.

1 (Slide.)

2 As it applies to gene therapeutics, what we have basically been
3 working on for the past couple of years is how are we going to look at this when we
4 are looking at the different vectors, and we have presented these next two slides at
5 several different meetings, and I really want to just go over it now just to refresh
6 everybody's memory and kind of lock in the next phase of the panel discussion.

7 When we look at the gene transfer agents, the standard rodent models
8 and the two year carcinogenicity bioassay are probably not going to be appropriate.
9 We already know daily administration of vector is not going to be feasible. However,
10 we also know that several of these vectors, including AAV, continue to express over
11 the lifetime of the animal.

12 the other thing that we have that may be a limiting factor is that the
13 host immune response to the vector or to the transgene may either limit the toxicity or
14 may have effects on tumor development. We may see enhanced tumor development
15 because of inflammation or we may see suppression of tumor development because of
16 activation of cytotoxic T effector cells.

17 So these are parameters that we have put out there for everybody to
18 think about in development and what we have basically said in terms of
19 carcinogenicity assessment for what we see right now in the gene therapeutic agents is
20 that it will be product specific studies determined on an individualized basis whether
21 they are needed or not. It will depend on the product class, the type of vector that is
22 being used and the transgene that is included, the duration of clinical dosing and the
23 intended schedule and route, the patient population, if you are going into adults or if
24 you are going into children, and if you are going to be treating a serious and life-
25 threatening disease as opposed to a less serious one with alternative therapies, and the
26 risks of integration of the vector into the host tissue.

27 The dose selection will most likely be based on the maximally feasible
28 amounts and on the amount of gene expression and reconstitution of the protein.

29 The need for many of these studies may be obviated by long-term
30 clinical follow-up and we have had this discussion before the Biological Response
31 Modifiers Committee about what constitutes long-term. My understanding is we are
32 going to revisit that issue next month again and hopefully come out with some
33 decisions on this.

34 So what we have really said here is that the typical carcinogenesis
35 assays are not really going to be appropriate for gene transfer vectors. We may have
36 to come up with alternative approaches and in the case of AAV we may not have those
37 data available at the time of licensure but we will have to come up with a way to get
38 them available very shortly afterwards.

39 So I just want to thank you for your time and actually I would welcome
40 any questions, and turn this machine back over to Terry.

41 DR. JOHNSON: Any questions for Anne?

42 DR. SAMULSKI: Yes. Anne, I would like for you to -- first of all, I
43 thought that was really fantastic and it gave us a clear picture. But based on where we
44 are at with this preliminary data and everybody's interest in seeing it repeated, what is
45 your comments from a regulatory point of view of direction you would encourage us
46 to go in?

47 DR. PILARO: Well, we are going to get into that with the question
48 three but my first comment was, "Okay, this is great. This is a finding. Let's see it
49 repeated." And, you know, I guess I had the same feeling as everybody else's. Can

1 we repeat this? What was it due to? How are we going to tease this out?

2 And in looking at this we are going to get more into this in the
3 discussion. There are a lot of variables here that we do not know could have
4 contributed to the liver tumor formation.

5 One question that I -- I guess I can bring up now for Dr. Sands and for
6 others is there was also a high level of expression of the gene that you showed in those
7 immunohistochemistry pictures in other tissues, and did you ever look for gene
8 integration there or vector copy number in there -- those tissues? You said they did
9 not have any tumor formation.

10 DR. SANDS: Right. We looked -- we did not look for integration
11 specifically but we looked -- we estimated copy number in a semi-quantitative PCR
12 assay and the number of red cells that you see in a given tissue correlates pretty well
13 with the relative level of AAV genome.

14 For example, the heart. The heart has an enormous amount of enzyme
15 activity. It has quite a few positive cells also. And when you look at the relative
16 amount of AAV genome there is a lot of genome in there.

17 In a tissue like the spleen there is very little enzyme activity associated
18 with it. There is also almost undetectable amounts of AAV gene.

19 So it all correlates. There is genome all over the place.

20 DR. PILARO: Right. That is another point that we would want
21 specifically to address in future studies is does the copy number or the amount of
22 genome present correlate with enzyme expression and/or development of tumor.

23 So those are the kinds of questions that I had, Jude, when I first heard
24 about these data so I think I am at least going along with the rest of the group.

25 DR. KAY: I may be jumping ahead but one thing -- at one point we
26 had sponsored by the FDA a workshop on AAV issues and there were a lot of
27 discussions at that workshop about what type of experiments to do with this particular
28 problem, and there were so many variables, as you mentioned, that were raised and
29 there are even more being brought out now. And whether it would be useful to
30 resurrect that workshop before we go to the great expense and resource to repeat
31 experiments without, you know, the right controls, et cetera.

32 DR. PILARO: Yes. I did not bring those slides with the mothership
33 approach and the jigsaw puzzle approach. I apologize. I actually thought about that
34 and went, no, that is getting out of hand here but we had a discussion about two years
35 ago about ways to address specific questions, including insertional mutagenesis and
36 the potential for carcinogenesis with AAV.

37 And at that discussion we decided that, you know, when you looked at
38 all the different variables that had to be addressed, the different control groups that had
39 to be addressed, and you actually did a power calculation based on the low incidence
40 of tumor versus a high incidence of tumor, we were looking at anywhere between
41 4,000 and 18,000 mice, and that is part of the reason why the discussion got tabled at
42 that point in time because nobody has got an animal facility that is that big and nobody
43 would be able to do the study under the GLP conditions that would be required for this
44 type of study through the agency.

45 However, there were points that came out of that discussion that
46 probably should be addressed now and one of them that I can think of right off the top
47 of my head is if it is really the virus a null vector should be able to show the same
48 incidence of tumors as did the GUSB vector. So that is one thing we might want to
49 consider exploring very early.

1 If it is really the animal strain then we should be able to take the normal
2 background animals and the GUSB deficient animals, treat them both the same way
3 and then see whether or not that comes up but I think that comes up in our third
4 question so I will table that for now.

5 DR. JOHNSON: Let me just jump ahead a little bit. We have, you
6 know, in essence, run out of time and I do not want to short-circuit the rest of the
7 discussion because I think it is very important that we get to the next set of questions.

8 So for number three actually what I have suggested, and I think I have
9 got reasonable consensus around the table, is that rather us sit here today, this
10 afternoon in a matter of five minutes and try to design a set of experiments to answer
11 either a very narrow range of questions or a very broad range of questions, I think that
12 what I would recommend to Amy with consensus around the table is that we develop a
13 smaller working group to be formed in short order to actually contribute to the design
14 of the experiments that are designed under point number three.

15 I think for us to sit here this afternoon -- we could be here until
16 midnight and never get to the panel II and Panel III. And, again given the time that it is
17 already 2:30 and we are already behind, I think that makes the most sense. It is pretty
18 clear that everybody agrees that the experiment needs to be repeated. It needs to be
19 replicated and we need to see if it happens again but along with that some controls can
20 be inserted.

21 Now, of course, there are caveats to that. As Mark points out, these
22 mice are -- it is not like going to Charles River and buying 500, you know, mice. It
23 just does not happen that way. So the experiments will have to be planned
24 extraordinarily carefully and resources will have to be made available to do the
25 experiments properly. Otherwise they are not worth doing.

26 So I think that unless I hear a stampede of protest, we would table
27 number three to be taken up by a smaller working group to be formed very, very
28 quickly.

29 Any other discussion on that?

30 Any other discussion on this particular issue?

31 Going once, going twice, gone.

32 So we are ready to move on to actually Session III now, which is
33 "Clinical Studies Using AAV Vectors" and we are right back on time.

34 We need about two minutes to change people at the table here and get
35 Pam Zeitlin up here. So if we could just stand in your place, stretch and sit back
36 down, that will be sufficient.

37 (A brief break.)

38 SESSION III: CLINICAL STUDIES USING AAV VECTORS

39 DR. JOHNSON: Next we have Pam Zeitlin from Hopkins who is
40 going to talk about
41 "Clinical Trials of AAV in Cystic Fibrosis patients: Initial Studies."

42 * * * * *

43
44
45

CLINICAL TRIALS OF AAV IN CYSTIC
FIBROSIS PATIENTS: INITIAL STUDIES
PAMELA ZEITLIN, M.D.
JOHNS HOPKINS UNIVERSITY

DR. ZEITLIN: And I want to make the point at the beginning that this was a two center trial.

(Slide.)

My colleague, Dr. Terry Flotte, who you heard from this morning has been conducting half of it at the University of Florida. And our sponsor is Targeted Genetics Corporation.

(Slide.)

Now this study was the first clinical study of an AAV vector. It was first reviewed and approved by the RAC in 1994.

(Slide.)

This is the vector. It is a very simple vector. ITRs, the CFTR full-length cDNA, the poly-A and then another ITR. So you can see right away that the promoter activity is coming solely from the ITR, which provides relatively weak promoter activity.

(Slide.)

Now the study objectives were first to assess the safety of single dose administration of TG/AAV/CF to the nasal epithelium and to the superior segment of the right lower lobe. Assessment of gene transfer expression and biologic activity were to be made. We wanted to assess the clinical impact of a single dose of vector gene transfer and to evaluate the immune response.

(Slide.)

The study design was dose escalation and it was applied to one nares with vehicle solution to the opposite nares in a double blinded placebo controlled randomized fashion and an open label administration to that segment of the lung lobe that I spoke of. The doses here -- we started out very low as you can see -- 6×10^4 DRP up to 2×10^{11} DRP in nasal epithelium with the lung dose being 6×10^4 up to 2×10^{12} DRP. We had two patients per cohort with a total of 25 patients.

(Slide.)

Now I can barely read this on the screen of my computer but these are the serious adverse events during the trial and, first of all, you can see that the most common one up at the top is pulmonary exacerbation. Pulmonary exacerbations are very common in cystic fibrosis. These are adult patients and they tend to have one or more per year. You can see that they occurred at all dose levels here.

This was followed by hemoptyses. This was mild and not severe.

One episode of pneumonia. That is the third word down there.

Pneumonia.

Then we had three events of sinusitis. Sinusitis is very common in cystic fibrosis, 99.9 percent of people with CF have sinusitis from the moment they are born practically.

There were two headaches.

Some rhinitis. One of our subjects eventually -- it was revealed that he had a drug addiction. We do not feel that is related to the study vector.

And then some back pain.

(Slide.)

And we continue with chronic sinus disease, migraine. Two migraines

1 in one patient. One abdominal pain. One appendicitis. Appendicitis is a frequent
 2 complication that is seen in cystic fibrosis from the gastrointestinal manifestations of
 3 the disease. And one episode of atrial fibrillation. And these were felt not to be
 4 related to study drug.

5 (Slide.)

6 So our results -- we feel -- the way -- at the doses given, in the manner
 7 that they are given to this particular study population that the single dose was
 8 generally safe and well tolerated. There were a total of 39 serious adverse events in 12
 9 patients out of 25. Only one of them was thought possibly related to vector
 10 administration.

11 And this was a pulmonary exacerbation that occurred seven days post-
 12 vector administration in one of the high dose cohorts, 2X10¹² DRP open label in one
 13 lung lobe. The patient actually did not really feel sick but because of a drop in
 14 pulmonary function there was a semi-elective admission ten days later for IV
 15 antibiotics and he recovered without sequelae. There had been a prior history in
 16 this subject of three similar exacerbations in the preceding six months.

17 (Slide.)

18 So to summarize to date, we did not really talk about the first study,
 19 9501, that was a sinus administration of this vector to 33 CF patients. Then I reported
 20 to you 9502, which was the both nose and lung administration in 25 patients.

21 And there has been a study, 9701, of aerosolized lung administration in
 22 12 patients and we have actually started at some sites, 25B01, a multidose aerosolized
 23 lung administration with 36 planned.

24 So I could almost say that we have almost dosed more human patients
 25 with this particular vector than mice with some of the other vectors that we have been
 26 discussing.

27 (Slide.)

28 Now I know that you cannot read this and I apologize but this is the
 29 aggregate safety data from all of the different trials. So the first column is the sinus
 30 trial, 9501, then my trial, then the 9701 to date, and so in all trials N=70 here. Thirty-
 31 five events in 25 patients or 36 percent reported a pulmonary exacerbation some time
 32 after vector administration. There were six events in four patients or six percent of
 33 hemoptyses. Three events in three patients or four percent of pneumonia and then the
 34 percentages of each of those other things that I talked about like headache, rhinorrhea,
 35 migraine, abdominal pain were all about one percent. There was urticaria down
 36 at the bottom that occurred in the sinus trial. Just one episode even of urticaria.

37 (Slide.)

38 So 70 patients to date with CF have been administered this vector to
 39 the sinus, nose and lungs. We feel that it is generally safe and well tolerated and that
 40 the SAE profile is consistent with the underlying CF disease.

41 And that is what I had to present. I can take questions.

42 (Applause.)

43 DR. JOHNSON: Thank you, Pam.

44 Questions for Pam?

45 DR. _____: (Not at microphone.) (Inaudible).

46 DR. JOHNSON: Can you repeat the question?

47 DR. ZEITLIN: I did not hear the whole question.

48 DR. _____: I guess the question really relates to
 49 biodistribution, of course, because all your side effect profiles focused on the

1 respiratory system apart from -- I guess you were just looking at global symptoms.
2 But in terms of more detailed evaluation, in terms of liver function tests, in terms of
3 particularly what we know retrospectively, of course, with your high dose distribution
4 with intranasal delivery, for example, one has presumably quite reasonable potential
5 for uptake into the vascular system and did you actually look all over the body? What
6 was the biodistribution? Did you look in any of those target organs in those clinical
7 trials?

8 DR. ZEITLIN: In these particular subjects I believe Targeted Genetics
9 has the blood. I have not seen all that data. Maybe Dr. Tom Reynolds might want to
10 comment on that. We did not see any dissemination of vector in my summary of those
11 results.

12 DR. REYNOLDS: Yes, Pam, I will try to comment off the cuff. We
13 were asked not to bring data today so my recollection is we did test the blood of all
14 patients that have received vector. We have one patient from the aerosol trial at the
15 lowest aerosol dose that had a positive PCR signal in the blood at the limit of
16 detection. All the other patients have tested negative for vector in the blood.

17 As you know, we try not to do invasive sampling on patients in areas
18 that we are not aware of problems so we have done no liver biopsies on any patients.
19 However, there is one patient that did receive vector at Stanford in the sinus study who
20 subsequently died of a cardiovascular event after he was off study. An autopsy was
21 performed and there was no vector present in the liver of that patient.

22 DR. JOHNSON: Over here?

23 DR. MARKERT: Louise Markert, RAC.

24 I was just curious that of your 25 patients, three of the CF patients had
25 hemoptyses, one of which was life-threatening. I mean, maybe it is because I am a
26 pediatrician and I do not tend to think of hemoptyses as being in three out of 25
27 patients over a short period of time. Are these very late stage patients?

28 DR. ZEITLIN: I believe that these must have been related to the
29 bronchoscopy procedure, which is extended in length in order to lavage and do the
30 brushings to get the cells that we needed to do for the PCR. So I believe they were felt
31 related to the procedure and the underlying disease rather than to the actual vector.

32 Now, hemoptyses, just to answer your general question, hemoptyses is
33 a relatively frequent complication in the older CF patient that is felt related to the
34 dilatation of the bronchial vessels supplying the very inflamed and bronchiectatic
35 lung.

36 And so any little trigger like a superimposed pulmonary exacerbation
37 or perhaps a vigorous brushing would then expose those vessels to bleeding.

38 DR. _____: (Not at microphone.) (Inaudible).

39 DR. BREAKEYFIELD: Please come to a microphone.

40 DR. JOHNSON: A microphone, please. Come up here to the table.

41 DR. BREAKEYFIELD: Thank you.

42 DR. FLOTTE: So the one subject at the University of Florida who had
43 what was considered life-threatening because he required embolization, in that case
44 the hemoptyses event was observed directly to be occurring at the time of the first
45 brushing and so this was -- this was a directly observed event, which is something --
46 you know, is a complication of -- it is a known complication of this procedure in
47 patients who have this problem.

48 It was unfortunate but the patient is fully recovered and no longer has
49 this -- you know, has recurring hemoptyses. But, you know, it was clearly

1 instrumentation related.

2 DR. JOHNSON: Xandra?

3 DR. BREAKFIELD: I assume these patients are still being followed
4 so if they actually do get some kind of lung cancer we will find out about it?

5 DR. ZEITLIN: Yes. These patients are going to be --

6 DR. BREAKFIELD: How long have they been followed?

7 DR. ZEITLIN: -- undergoing annual follow-up for the rest of their
8 lives and our's.

9 So we make a contact. We have a series of questions and they report
10 back to us and we report it to the NIH and everyone else.

11 DR. BREAKFIELD: I did not remember.

12 How long have some of these -- has it been since some of these patients
13 received the vector?

14 DR. ZEITLIN: Yes. For this first trial it was actually quite a lengthy
15 trial. I think it spanned at least two years because we started at very low dose cohorts.
16 So some people are two years out or more.

17 DR. FLOTTE: '95.

18 DR. ZEITLIN: '95. The first patient was '95. I have lost track of the
19 time. And they still -- we do see them.

20 Some of them are in our own clinic. They are our local patients. Some
21 of them are from the rest of the East Coast. And the most recent series of the higher
22 dose cohorts were finished up this summer and we have not seen any lung tumors.

23 DR. JOHNSON: Any other questions for Pam?

24 If not, let's move on to the next. "Clinical Trials of AAV in Cystic
25 Fibrosis Patients: Subsequent Studies" by Phyllis Gardner.

26

27

1 CLINICAL TRIALS OF AAV IN
2 CYSTIC FIBROSIS PATIENTS:
3 SUBSEQUENT STUDIES
4 PHYLLIS GARDNER, M.D.

5 STANFORD UNIVERSITY SCHOOL OF MEDICINE

6 (Slide.)

7 DR. GARDNER: The trial that I am going to be presenting really is, of
8 course, the work of multiple people, probably the least of which is me even though I
9 was the principal investigator. So I just want to acknowledge the fact that several
10 Stanford colleagues took part in this, including Rick Moss, who runs the pediatric
11 clinic, John Wagner, who was my fellow, who is now at Merck, and Mary Lynn
12 Moran, who is the ENT surgeon.

13 We used the vector developed by Terry Flotte and Pam Zeitlin and
14 others at Hopkins, Bill Giggino.

15 We worked with Targeted Genetics and so they provided the vector and
16 a lot of the clinical analysis with us.

17 It was sponsored by NIH GCRC money as well as CFRI, Cystic
18 Fibrosis Research Incorporated, a small foundation in the Bay area.

19 So it is a multiple group study, NIH sponsored.

20 (Slide.)

21 So this is a two-stage study, Phase I and II. You have heard some of
22 the results already with respect to the serious adverse events, of which there were
23 none.

24 (Slide.)

25 So we did this trial in the maxillary sinus and we did this -- we
26 hypothesized that the sinus would be a good surrogate model for lung disease for a
27 safer method to approach the question of whether AAV CFTR could safely and
28 effectively transduce CFTR.

29 And the reason the sinus, we thought, would be a good model is that
30 you have -- as Pam Zeitlin mentioned -- virtually all patients because the airway
31 epithelium that line the sinus are also affected in the disease cystic fibrosis, you have
32 radiographic panopacification of sinuses in 90 to 100 percent of patients.

33 They have a sinusitis that has pseudomonas -- often has pseudomonas
34 colonization and then inflammation and infection, subsequent inflammation and
35 infection. And it mimics the same disease that you see then, colonization and disease,
36 you see in the lower airway tract.

37 At Stanford we have for quite a long time done a procedure called a
38 bilateral antrostomy to correct the disease in the sinusitis. That is that the ethmoid
39 sinus is surgically operated on and the maxillary antrum is widened so that one can
40 have access into the sinuses with a catheter to perfuse the sinuses with tobramycin.

41 Now it turns out that not all people in the country believe in this
42 particular approach but the people in the Stanford area certainly believe in it because
43 patients are very affected by their sinus disease. They have chronic headaches and all
44 the other things that go along with sinusitis.

45 It was started because we had a big transplant population and the trans -
46 - what they found with the transplants at Stanford, their observation was that they --
47 because the sinuses are colonized with pseudomonas that they had -- and because your
48 patients after transplantation with their lung are immunosuppressed that there is a
49 higher incidence of pneumonitis in those patients. So they started clearing out the

1 sinuses prior to transplantation and then a whole cadre of patients came forward
2 because they found that they were so relieved by the procedure.

3 So we have a large group of patients over quite a few years who have
4 developed -- who have had this procedure and it -- we thought it would form a very
5 nice -- it was Jeff Wein actually who first came up with this.

6 (Slide.)

7 Jeff Wein is in psychology at Stanford. He said, "This would be a
8 perfect inpatient control. Easily accessible site, small, affected by the disease, and you
9 could have it inpatient control." So that is why we chose the maxillary sinus as the
10 site for installation of vector and proposed that possibly it could be a good surrogate
11 model for lung administration.

12 And as you can see there, this is a patient who has had the antrum
13 widened and a catheter is inserted in there. You can tape it in and it can stay in there.
14 And fluid can be instilled and biopsies can be taken. We also can endoscope it and see
15 the whole sinus so it is a reasonably easy model that is relatively safe for the -- well,
16 very safe for the patient. Not always comfortable but safe.

17 (Slide.)

18 So the same vector that Dr. Zeitlin described was used, that is once
19 again the Targeted Genetics CFTR AAV vector and it has just the ITR regions
20 working as the endogenous promoter. CFTR cDNA and the poly-A marker. And it is
21 a weak promoter.

22 (Slide.)

23 Now that vector was used in two different trials. This is the 9501 trial
24 that we were talking about and I think it also dates back. We have lost track of time.
25 Almost to '95-6. Around the same time. So the first patients who saw this vector in
26 these trials, it has been about five to six years. It is very hard to believe.

27 So the first trial was a dose escalation to one sinus open label. The
28 dose levels were 10^2 to 10^5 replication units, which is equivalent as you can see to the
29 DRP equivalents. There were ten patients who were treated, 15 sinuses. That means
30 five of the patients went -- underwent repeat procedure. And they had -- all had
31 chronic sinusitis with cystic fibrosis. They were all correctly identified and they all
32 required bilateral antrostomies. And we had major endpoints, safety, gene transfer
33 and electrophysiology.

34 (Slide.)

35 One of the interesting things to us was that the repeat -- the -- we were
36 able then in the repeats to observe whether or not they developed neutralizing
37 antibodies for a second administration. We were also able to look and see if they still
38 had transgene expressed.

39 The maxillary sinus Phase I results, we found that it was very safe and
40 well tolerated. There were no serious adverse events. We will present the ones --
41 aggregated SAEs for both trials later. There were no inflammatory responses at all.
42 We did not see any evidence that -- like with adenovirus in the CF trials, we did not
43 see evidence of an inflammatory response to vector administration. And we saw no
44 evidence of neutralizing antibody titer change. So it appeared to be tolerated, not to
45 have an immune response or an inherent inflammatory response as the vector alone,
46 and safe.

47 (Slide.)

48 We did semiquantitative DNA PCR and biopsies and it appeared that
49 we had transgene expression that was dose dependent. We got 0.1 to one vector copy

1 per cell at the highest dose, 10^5 replication units per ml. So that was the dose chosen
2 for the second trial and it had a pretty nice dose correlation.

3 (Slide.)

4 We also found in that particular trial we did transepithelial potential
5 differences. Now this is not easy in the sinus. We managed -- John Wagner did this.
6 And what you can see down there is at the upper two doses, 50,000 replication units
7 and 100,000 replication units per cell, that it appeared we had a significant change in
8 transepithelial potential difference as if the gene that was being expressed was
9 correcting the underlying defect. That is to say we normalized the transepithelial
10 potential difference in those treated cells.

11 This was measured at 14 days out and we -- I should say we also saw
12 evidence in that trial of expression 90 days out but we did not do all of the
13 transepithelial potential differences all at that time. They are complicated.

14 (Slide.)

15 So on a Phase I trial it appeared to be safe. We established the dose of
16 10^5 replication units and we had evidence of functional expression of the gene.

17 (Slide.)

18 Well, I just said that, didn't I?

19 We did see persistence for about 70 days and up to one gene copy per
20 cell achieved. We had also seen repeat delivery at high dose was well tolerated.

21 (Slide.)

22 We went on to a maxillary sinus Phase II trial. That became a
23 randomized double blind placebo controlled trial in which the patient served as its own
24 control. So on a randomized fashion we administered vector to one sinus and placebo
25 to the other. And the dose was 10^5 replication units. There were 23 patients and the
26 patient population was the same as Phase I but we allowed the age to go down to 15
27 years or greater.

28 (Slide.)

29 Some of the patients who participated in the first trial also participated
30 in the second trials. In terms of safety findings, vector treatment produced no
31 consistent change in neutralizing antibody titer or sinus histopathology. Once again in
32 following up neutrophil counts, biopsies, neutralizing antibody titers, we found no
33 significant effect of vector, which is not to say that these sinuses are not intensely
34 inflamed in and of themselves.

35 We saw no adverse respiratory events related to the vector but there
36 was one possible related -- what was deemed as a nonserious acute vestibulopathy.
37 Ear pain, I think.

38 (Laughter.)

39 (Slide.)

40 The sinusitis -- now in terms of the effects, so this was a Phase II trial
41 to try to determine efficacy. Here is where our surrogate model, I think, failed. We --
42 there is really not a good way to assess efficacy of treatment in the sinusitis model. So
43 we were making it up on the fly what we would follow and we tried to determine
44 parameters to define what sinusitis was. And they were made up. They were
45 clinical parameters.

46 We found that it recurred at least once in 18 of the 23 patients 78
47 percent of the time and did not appear to be related to vector versus placebo. The
48 recurrence and the time to recurrence were statistically undistinguishable, therefore,
49 between the two groups.

1 (Slide.)

2 We did see, though, some evidence for some effect and I am going to
3 jump ahead because on another slide it will say this but one of the things that
4 confounds that study in retrospect is that we did not withhold tobramycin --
5 intravenous tobramycin therapy from these patients and they are a generally sick
6 group who often have pulmonary exacerbations and for pulmonary exacerbations they
7 get tobramycin, intravenous tobramycin.

8 So while they were not getting tobramycin instilled in their sinuses
9 over the three month period of the trial, the 90 day period, they were getting -- almost
10 all the patients had some point in time intravenous tobramycin and that was not
11 randomized by the patient population because we were -- we had to treat the patients
12 when they had pulmonary exacerbations. So it was a confounding factor that we just
13 could not deal with retrospectively.

14 One thing that we found interesting -- Dr. Rick Moss at Stanford has
15 been following, as with many others, cytokine levels. And he finds differences in pro
16 and anti-inflammatory cytokines. And we felt we found a statistically significant
17 effect on cytokine expression. In vector treated sinuses cytokine -- the pro-
18 inflammatory interleukin-8 decreased from study day zero to day 14 by 1,475
19 picograms per ml as compared to 353 in the control trial. And, of course, they had
20 tobramycin just prior to this. So the control might have -- might be reflecting the
21 effect of tobramycin but there was a greater decrease in the pro-inflammatory IL-8 in
22 the vector treated sinuses and that was statistically significant in the one tail T test for
23 each group.

24 (Slide.)

25 And if you looked at day 90, the vector treated group had an increase in
26 the pro-inflammatory IL-8 while the vector treated group was normalizing back to
27 about baseline.

28 (Slide.)

29 So we interpreted that to say that the AAV CFTR did not alter sinusitis
30 recurrence. And, as I said, antibiotics may have confounded that outcome but the pro-
31 inflammatory cytokine IL-8 decreased after AAV CFTR treatment, suggesting that a
32 vector treatment related to a decrease in sinus inflammation.

33 In addition, we saw statistically significant, by the single tail T test,
34 changes in the anti-inflammatory IL-10 that would be what you would predict. We
35 saw increases in IL-10 expression in the vector treated versus the control. So the
36 cytokine expression gave some indication that possibly we had an efficacious gene
37 transfer.

38 (Slide.)

39 I will also go on to say that for reasons that we cannot possibly
40 interpret, though we tried desperately, repeating everything, we could not get
41 interpretable DNA, semiquantitated DNA PCR results in our biopsies so we have no
42 idea by test whether or not the vector was expressing and these were the same tests
43 that we used in the Phase I trial and it just highlights how difficult it is to do some of
44 these trials.

45 (Slide.)

46 Now in terms of aggregated secondary adverse -- I mean, serious
47 adverse events, we had, as usual, the pulmonary exacerbations, asthma, cholelithiasis,
48 and urticaria. One event of that.

49 (Slide.)

1 Here is the total which you are not interested in because --

2 (Slide.)

3 But anyway the bottom line was that none of these seemed to be in any
4 way related to the study agent and they were -- most of them were consistent with the
5 underlying disease.

6 (Slide.)

7 So, in summary, the vector gene transgene construct was well tolerated
8 with no serious adverse events. There was persistent and efficient gene transfer
9 observed in the Phase I trial at least and evidence in the Phase II trial by changes in
10 cytokine levels as well as the transepithelial potential difference in the Phase I trial.

11 We had evidence of vector derived CFTR expression. It was based on
12 this that a randomized aerosolized -- I mean, a dose -- the next aerosolized trial was
13 initiated. That is a multicenter trial. There was first the dosing study and now it is
14 moving -- it was going -- it has moved into the randomized trials because we felt at
15 least in our surrogate model, if not perfect, we had felt reasonably happy to move
16 forward in terms of safety of this underlying experimental therapy.

17 Thank you.

18 (Applause.)

19 DR. JOHNSON: I think Phyllis can take a few questions.

20 Mark?

21 DR. KAY: Phyllis, is there any way that you have been able to
22 differentiate the double stranded genomes from single stranded genomes by this PCR
23 assay because the copy number is pretty high and I am trying to get an idea of how
24 much of it is actually double stranded. I know it is probably difficult to do.

25 DR. GARDNER: There is certainly nothing I did that could
26 distinguish it. I could look to Terry to ask him if you have any evidence.

27 DR. FLOTTE: Targeted Genetics did the assays.

28 DR. JOHNSON: Microphone, Terry.

29 DR. FLOTTE: Sorry.

30 DR. JOHNSON: Why don't you just move back to the table?

31 DR. FLOTTE: Tom would be the person to comment on the
32 semiquantitated PCR on the clinical samples. Those were done there.

33 What I will tell you is that in the preclinical studies in the monkeys and
34 the rabbits, Southern blots show double stranded forms at the time points when we are
35 harvesting, which are out, you know, three to six months.

36 But Tom can answer.

37 DR. GARDNER: Any other questions?

38 As I said, these are patients now. Oh, yes, Tom?

39 DR. JOHNSON: Tom?

40 DR. REYNOLDS: So for the sinus trial, as you can imagine, the
41 biopsy specimens that were taken by our investigators are taken by forceps biopsy and
42 they are just directly kind of pinched off from the inside of the sinus. There are
43 chunks of tissue that are about 10 to 20 milligrams. So they are a very small number
44 of cells.

45 The only technology that we could apply to them to quantitate the
46 amount of DNA was PCR. From the way our vector is constructed, it is really not
47 possible for us by PCR technology to distinguish single and double strands, and we
48 did not have enough DNA really to do a Southern blot so we do not have that.

49 The other point that I would make about the vector copy number

1 relative to the dose is the sinuses in these patients have a space that is very, very small
2 because they are nearly filled in with fibrotic tissue. They are about one centimeter
3 volume total. One cubic centimeter.

4 And so as a result there is pretty high local concentration of vector in
5 that epithelium and I do not -- and we are pretty comfortable that we could be seeing a
6 gene transfer with a copy number of about .1 to one copy per diploid genome in that
7 small sinus cavity.

8 DR. JOHNSON: A question here at the table?

9 DR. JUENGST: Could you explain again about the pulmonary
10 exacerbation? Why that is not associated with the vector?

11 DR. GARDNER: Sinus. These are once again CF affected patients,
12 some of whom have relatively severe disease, so that level of pulmonary exacerbation
13 --

14 DR. JUENGST: Just the course of the disease.

15 DR. GARDNER: -- is the course of the disease and did not appear to
16 be different between the two groups.

17 DR. JOHNSON: Ted?

18 DR. FRIEDMANN: Could you just repeat or remind us the differences
19 or similarities between the structure of the epithelial surface in the sinus compared
20 with upper airway? And, also, on what basis you included in your summary slide the
21 presumption that you were getting gene expression?

22 DR. GARDNER: Right. The up -- as I understand it, and I certainly
23 am not the expert on this, but the epithelium that lines the sinuses, it has -- it is a
24 respiratory, secretory epithelium that expresses CFTR that has chronic pseudomonas
25 colonization and has an appearance of pathogenicity that mimics lower airway disease.

26 Having said that, it also has a difference in the transepithelial potential
27 difference being hyperpolarized relative to normal tissue. Having said that, I am sure
28 there are differences on a finer tuned level in terms of how much are secretory cells --
29 scilliated secretory cells versus goblet cells versus basal cells and other parts that are
30 finer nuances that may not at all be the same.

31 I am sure that the nasal and the sinus and the upper airway you would
32 probably start to see transitions in those. Now in terms of why do we think that we
33 had gene transfer in the Phase I study, it was through the semiquantitated PCR and the
34 transepithelial potential difference. I think it is weaker by far in the Phase II studies
35 since our DNA PCR results just were -- they were just completely uninterpretable.

36 And the only thing that we had to suggest it was the alterations in a
37 statistically significant fashion in vector versus controls in cytokine levels, in two
38 different cytokine levels, and that is all we have. And it is -- and I would be the
39 first to say that is only just suggestive possibly.

40 DR. FRIEDMANN: It is better than nothing but it really does not --

41 DR. GARDNER: It does not --

42 DR. FRIEDMANN: -- speak to the CFTR.

43 DR. GARDNER: I would not go out and tell you that we cured their
44 sinuses by any stretch yet. I just think it was enough for us to say this is safe enough
45 to go on and aerosolize and try it in the lung, which is the primary model that you are
46 trying to treat.

47 DR. FRIEDMANN: So it is a safety comment rather than a gene
48 expression comment.

49 DR. GARDNER: Safety comment with the caveat that possibly. One

1 of the other things that we -- and we have submitted this, it is in review -- one of the
2 other suggestions we make is that possibly we should be studying as an outcome, a
3 secondary outcome, cytokine parameters because it is an earlier assessment.

4 And, in fact, we looked back at Robert Altman's studies and he had
5 noted in his studies alterations in cytokine levels, and those were both nasal and
6 airway administration, and he put it in the -- I think he put his results in the methods
7 section but he saw an alteration in vector versus placebo control in cytokine levels as
8 well and pro-inflammatory cytokines being decreased by vector administration.

9 So we thought it was an interesting observation that if you look back in
10 the literature someone else had found and thought that perhaps this could be a
11 secondary outcome that people would follow and, in fact, we are following in our
12 aerosolized trials.

13 DR. FLOTTE: Can I just comment on that?

14 DR. JOHNSON: Terry, a microphone.

15 DR. FLOTTE: It is really not necessarily that secondary in terms of --
16 perhaps some people have not followed the CF story recently. It is quite clear that
17 there is a primary abnormality. I am not telling you anything you do not know but
18 primary abnormality in cytokine gene regulation related to the CFTR defect, which
19 probably represents a cell stress response to DF508 CFTR in the endoplasm
20 particulum. But be that as it may, in primary cells one can demonstrate in a fashion
21 that is directly related to CFTR expression that CF defective cells have in response to
22 pseudomonas or bacterial LPS an exaggerated IL-10 response in deficient -- I am
23 sorry, an exaggerated IL-8 response and deficient IL-10 response.

24 So it really is felt that the cytokine profiles represent a primary effect of
25 CFTR that the relationship of which to chloride transport is not entirely clear and it
26 may not exist at all. So this -- I would say that you are looking at two different aspects
27 that may be important and fairly proximal to the CFTR defect.

28 DR. GARDNER: Yes. And I would stress that I just did a lecture on
29 CF and I was talking about how Lou Stryer used to say, "One gene, one defect," and
30 that confounded, I think, the whole field of CF for a long time because people kept
31 trying to relate everything back to chloride transport and it is clear that multiple
32 cellular functions are disrupted probably because there is endocytic recycling of a
33 complex of proteins that are coregulated with CFTR and a variety of other things.

34 The other thing is that we found evidence, and it caused me to have
35 mud and eggs slung at me for years, but we found CFTR expressed in lymphocytes.
36 Pretty clearly it is expressed in lymphocytes and it -- what the physiology of that, the
37 pathophysiological consequence of that is not clear but I would also just say one
38 caveat. One has to remember that there are other cells in there in the sinuses. Some of
39 them are cytokine expressing, as are the secretory epithelial cells, and they have
40 misregulation as well.

41 So one does not want to over simplify the model.

42 DR. JOHNSON: One final question.

43 DR. WEISS: I just want to clarify the potential confounding effect of
44 IV tobramycin. Was everybody more or less on IV tobramycin during the study?

45 DR. GARDNER: There were 19 pulmonary exacerbations and of
46 those 19 they all got IV tobramycin. And I do not remember the exact breakdown of
47 where they were but it was relatively similar in both. And it will certainly change the
48 outcome of your sinusitis. I mean, IV tobramycin will treat your sinusitis quite well.
49 It is just that we do not usually do that.

1 DR. WEISS: Except that 78 percent or so of patients had an additional
2 episode of sinusitis.

3 DR. GARDNER: Well, the sinusitis was no different between the two
4 at the same time they were -- there were 23 patients, 19 episodes of pulmonary
5 exacerbation. Right? So that is a pretty high percentage of them that had IV
6 tobramycin at variable times. But between the vector and the control group it was not
7 as if there was a big dispersion and when they got it or whatever. It certainly would be
8 a confounding factor in looking at sinusitis recurrence because while we withheld
9 local treatment for sinusitis, we could not withhold the systemic treatment.

10 DR. JOHNSON: Great. Let's move on to the second clinical
11 application currently in progress, "Clinical Trials of AAV in Hemophilia B." Bert
12 Glader from Stanford University.

13 CLINICAL TRIALS OF AAV IN HEMOPHILIA B
14 BERTIL GLADER, M.D., Ph.D.
15 STANFORD UNIVERSITY

16 DR. GLADER: Thank you. It is a pleasure to be here.
17 (Slide.)

18 I would like to start out by making a couple of remarks about
19 hemophilia for the ten people in this room who do not know about it.

20 Hemophilia is the hereditary deficiency of protein necessary for
21 coagulation. I am sure you cannot even see this in the back. This is the coagulation
22 cascade and when we talk about hemophilia, virtually every factor has been --
23 deficiency in every factor has been described but for practical purposes, Factor VIII in
24 classical hemophilia and Factor IX are the major entities that we are interested in.

25 Approximately 85 percent of cases are due to Factor VIII deficiency
26 and the remainder are due to Factor IX.

27 (Slide.)

28 Pediatricians are always accused of showing pictures of kids so I am
29 not going to disappoint you. This is a colleague of mine. Some people in here may
30 know Dr. Laurie Namen. Taken many years ago when he was a resident with his
31 patient, Steven Christmas. He recently gave this to me and it just brings this all back
32 to reality. Steven Christmas was the first patient described with hemophilia B.

33 (Slide.)

34 Now when we talk about hemophilia, we also classify it based on
35 severity. Either calling it severe, moderate or mild, and that severity is based on
36 measured factor levels. Severe being zero to one percent of normal, moderate is one
37 to five percent of normal, and mild is somewhere five to 20 percent activity.

38 But this classification also is borne out clinically. It correlates with the
39 bleeding tendency. People with severe hemophilia, less than one percent activity,
40 have spontaneous bleeding without trauma. Often 20 to 50 bleeds a year occurring in
41 joints and other soft tissues.

42 In contrast, people with mild hemophilia have one to five percent
43 activity. Not much of a difference. However, their whole life their phenotype is
44 entirely different and most of them only bleed with significant trauma.

45 We now also know from prophylactic studies done in children if you
46 can transfuse them with protein concentrate and at all times maintain them at a level
47 just above one percent factor level, you can prevent all joint bleeds. If they have joint
48 disease you can arrest the progression of that.

49 (Slide.)

1 So because we know you need very little here, this has been a goal of
2 gene therapy and because we know prophylaxis keeping people at one percent level
3 will prevent a lot of these complications, this has been very exciting.

4 So based on a number of years of studies in animal models, both in
5 mice and dogs, animal models for hemophilia by several distinguished scientists here,
6 we have -- the safety and efficacy of AAV gene therapy has been documented and
7 based on that a clinical trial based on all those studies, efficacy and safety, a clinical
8 trial was started entitled, "A Phase I Trial of AAV Mediated Muscle Directed Gene
9 Therapy for Hemophilia B."

10 This study was led by Dr. Katie Manno and Kathy High, and colleague
11 Roland Herzog at Children's Hospital of Philadelphia. Mark Kay and myself were
12 involved at Stanford. Alan McClellan and Linda Kudo were our colleagues at Avigen.
13 And a variety of other individuals and institutions also participated in providing us
14 patients.

15 (Slide.)

16 Now the specific aims of this study were to test the hypothesis that
17 AAV mediated muscle directed gene transfer is safe and can result in an improvement
18 in the clinical course of hemophilia patients without adverse events.

19 Secondly, we wished to characterize the human immune response to
20 the transgene product and to its vector.

21 And, three, to determine whether germ line transmission of the vector
22 occurred following IM administration.

23 (Slide.)

24 The study design was an open labeled dose escalation safety trial of
25 AAV human Factor IX administration by IM injection. Three subjects in three
26 different groups receiving a low, medium and high dose were to be entered. The
27 clinical protocols were reviewed and approved by the local IRBs and IBCs, as well as
28 the FDA and OBA.

29 The intramuscular injections of factor occurred under coverage of
30 Factor IX concentrate so patients would not bleed and a variety of serum chemistries
31 and blood studies and Factor IX levels were done throughout the study. And also
32 studies to monitor for the development of inhibitors. And, lastly, muscle
33 biopsies were looked at also for evidence of gene transfer.

34 (Slide.)

35 Now the inclusion criteria for this study was adult males with severe
36 hemophilia B and no history of inhibitors to Factor IX. Inhibitors occur in 20 percent
37 of patients with hemophilia A much more common but they are much less common in
38 Factor IX deficiency. But they -- once you have an inhibitor it makes managing
39 bleeding episodes much, much more difficult. And so we did not want an inhibitor
40 because, in particular, we were concerned with the possibility inhibitors could develop
41 with gene therapy gene approach.

42 One of the criteria here was that there had to be more than 20 days
43 exposure to Factor IX concentrates without evidence of inhibitor. Now that is because
44 almost all inhibitors develop within that time frame. In reality that has not been a
45 problem because most of our adults have had hundreds of exposures and so -- and they
46 have not had inhibitors.

47 And life expectancy less than one year was an issue so that they could
48 complete the study and also the presence of a missense mutation because the inhibitor
49 development has been associated primarily with large lesions and I think there is only

1 one or two cases of inhibitors developing in patients in Factor IX deficiency who have
2 missense mutations.

3 (Slide.)

4 The exclusion criteria were any acute infectious disease going on, any
5 end stage renal or liver disease, and we will talk a little bit about this in a second, any
6 inflammatory bowel disease at the time when they would present, thrombocytopenia
7 less than 50,000. Someone who is unwilling to stop prophylaxis regimen -- the
8 prophylaxis regime. Many patients treat themselves prophylactically two to three
9 times a week indefinitely to prevent bleeding and obviously we could not have
10 somebody on that if we were going to test the efficacy of our gene.

11 (Slide.)

12 Okay. The subjects studied, we had eight subjects ranging in age from
13 23 to 67 years of age, who have been entered on study. The race is seen here in the
14 second line. Every one of them was a severe hemophiliac by definition of having less
15 than one percent activity and their CRM, cross reacting material, status was negative
16 to positive, meaning they may have had some residual nonfunctioning protein.

17 (Slide.)

18 One of the major problems in hemophilia has been the exposure to
19 blood products which has led to obviously HIV infection and, in fact, hepatitis C
20 infection as well. And the vast majority of adults with hemophilia are, in fact,
21 hepatitis C antibody positive and you can see here that six of our patients were
22 antibody -- hepatitis C positive, two were also hepatitis B and two were HIV positive.

23 (Slide.)

24 The doses: To date, eight patients have been entered in three different
25 levels of dose. The doses predicted -- were calculated based on the -- what was
26 expected from the mice and the dog data. And at the low levels no results -- it was not
27 anticipated there would be any effect and only at the higher levels would you approach
28 anything near one percent.

29 (Slide.)

30 You can see the dose per site was the same. The reason for that being
31 that there was concern with inhibitor development if there was a bigger concentration
32 of dose at any one site. Because of that as the dose increased you had to increase the
33 number of sites and the patients receiving the highest dose to date have had up to 70 to
34 100 injections into their muscle.

35 (Slide.)

36 The vector is administered in the CRC either at CHOP or at Stanford
37 and prior to that they get 100 percent correction of Factor IX concentrate and they will
38 not bleed with that. They are given local anesthesia and conscious sedation is
39 necessary.

40 (Slide.)

41 Tattoo marks are applied to the injection site so that one can come back
42 for a biopsy and then the multiple injections are used initially in mainly the vastus
43 lateralis muscle and subsequently we have to move from there. The patients are
44 routinely kept in isolation for 24 hours.

45 (Slide.)

46 This is just a picture of one of our surgeons and colleagues, Dr. Eric
47 Scarsgard in the process of injecting the vector under ultrasound guidance.

48 (Slide.)

49 Okay. Adverse events to date related to the procedure: Mild swelling,

1 tenderness and some pain at the vector injection site. This is seen in six subjects at all
2 doses. It was not significant but it did exist. Small hematomas and bruising at the
3 vector sites was reported in five subjects and again at all doses.

4 In one patient they actually changed the tattoo material from methylene
5 blue to India Ink and the patient had a fairly significant reaction to it so it was
6 obviously a reaction to this and to the procedure but not to the vector.

7 And in one patient there was a transient fall in the platelet count to
8 110,000. He had had that before and so that was not a new event that it had fallen
9 from normal to that level.

10 (Slide.)

11 Okay. So in terms of looking at efficacy, muscle biopsies were done
12 after a couple of months and what one sees here is an H&E stain showing no evidence
13 of any inflammation. This is typical of early patients and seen throughout. This is a
14 stain immunoperoxidase for Factor IX in normal muscle. Normally you see no Factor
15 IX there and here is a Factor IX from one of our patients and you can see that there is
16 Factor IX expressed in that muscle biopsy.

17 (Slide.)

18 This slide summarizes the eight patients to date looking at either their
19 Factor IX immunohistochemistry as I just showed you. Mostly positive in most
20 patients. Looking at PCR and Southern blot for muscle biopsy and also getting
21 evidence of gene expression. In terms of the level of circulating factor which we have
22 achieved, the first patient actually -- it was less than one percent at the beginning -- got
23 up to over 1.6 and subsequently has had a decrease in his Factor IX infusion to about
24 50 percent of what he used the previous six months.

25 In the second patient we had a level of .8 percent and that is varied
26 between .6 and .8, and in the first few months after infusion he had an 80 percent
27 reduction in his factor usage but this is also decreased now. He is off study in the year
28 and he is -- but still he is using about 50 percent of what he used the preceding six
29 months before going in the study.

30 None of the other patients seemed to have any significant rise in their
31 factor level and the remaining patients here, one of them has a slight decrease --
32 Patient F -- in his factor usage. Three of the others do not and we are still -- the last
33 couple of patients we are still pending more information.

34 (Slide.)

35 What were the safety issues we were concerned with here? First of all,
36 inhibitor formation, then germ line transmission, and obviously insertional
37 mutagenesis. The inhibitors we looked at -- there are different ways of looking at
38 inhibitors. A functional test and so-called Bethesda assay is done periodically
39 throughout the course of the study here and N/D seen across the board here for all of
40 them means not detected. So we have not detected any Factor IX antibodies by the
41 Bethesda assay.

42 (Slide.)

43 And looking in a more -- looking for also noninhibitory antibodies and
44 Western blot. This is a positive control here. And looking at all our patients, actually
45 A through G at different periods, they were all negative.

46 So I think we can conclude from this we have no antibodies that have
47 developed to date.

48 (Slide.)

49 The risk of germ line transmission, obviously there is a concern the

1 vector sequences could be passed to progeny and we do not know what effect that
2 might have on the fetus. Our plan for going into the study was we obviously were
3 going to monitor for presence of vector sequences in the semen and we encouraged
4 barrier contraception until the semen was documented negative at least on three
5 occasions. And we also encouraged all patients to bank sperm until the sperm was
6 shown to be negative.

7 (Slide.)

8 This is the data on PCR data and vector shedding, which was done, and
9 you can see here for stool, urine, serum, saliva and semen. The stars here show what
10 was positive and you can see that in the urine in a couple of patients on day one and
11 the serum on day two for four patients, vector sequences could be detected. Beyond
12 that there was no evidence of any vector shedding and also at no time in the study was
13 there any evidence of vector in the semen.

14 (Slide.)

15 The third concern obviously is vector insertional mutagenesis and this
16 is obviously related to all the things you have been hearing about this morning. And
17 one of our approaches to this was we are using superficial muscles that we could
18 easily observe for any physical change at the injection site and also each of our
19 patients will be followed lifetime in the local hemophilia treatment center as well for
20 any long-term complications.

21 (Slide.)

22 So what do we conclude from this early study? That the intramuscular
23 injection of an AAV vector encoding for Factor IX in eight human subjects has been
24 tolerated and there has been no significant systemic or local toxicities. Gene transfer
25 and/or Factor IX expression has been demonstrated in all eight subjects. The vector
26 shedding data did not reveal vector sequences in the semen at all time points tested. In
27 addition the vector shedding data are consistent with the earlier animal data which
28 demonstrated low risk of horizontal and germ line transmission.

29 No inhibitors to Factor IX have been detected by either the Bethesda
30 assay or Western blot and the H&E staining of skeletal muscle shows an absence of
31 inflammation in all the muscles studied.

32 (Slide.)

33 Now I just in a couple of minutes would like to make a comment about
34 another trial under consideration. This is a Phase I trial of AAV mediated liver
35 directed gene therapy for hemophilia B. The same people would be involved.

36 (Slide.)

37 This study also is -- it is an image in many ways of our muscle study
38 but it also comes from the years of preclinical studies that have gone on in AAV
39 Factor IX therapy in mice and dogs. Recombinant AAV mediated hepatic gene
40 therapy is efficacious in small and large animal models of hemophilia B. Lots of data
41 for that. And also there is no direct evidence of any related toxicity in mice, rats or
42 dogs at doses that are five to 100-fold greater than the proposed starting dose in this
43 particular trial.

44 (Slide.)

45 The question that often comes up, why muscle and why liver for doing
46 muscle, but there -- I think there is -- these are two different complementary
47 approaches. This issue, in fact, was discussed at the RAC committee last year. There
48 is a lot of unresolved issues which will need to be defined.

49 What is the interval required for retreatment in muscle versus liver if

1 that is even possible?

2 And currently it seems that the vectors that are used in muscle require a
3 much higher dosage compared with liver so there seems to be based on ongoing
4 animal studies a dose advantage of using the liver as the target organ. And one could
5 approach it as it might be possible to achieve therapeutic levels with the liver versus --
6 excuse me. Pure levels versus the therapeutic levels in muscle.

7 And the incidence of the formation of inhibitors is significant. There is
8 some preliminary data in at least the null dog Factor IX model that the incidence of
9 inhibitors might be greater in muscle -- following muscle injection and when it is
10 directed towards the liver.

11 And it is for these reasons as well as the fact that the muscle probably
12 is unlikely to work for hemophilia A that an approach -- we are looking at the
13 possibility of a Phase I study in liver.

14 (Slide.)

15 The specific aims of this study would be to test this hypothesis that
16 AAV mediated liver directed gene transfer is safe. I have characterized again the
17 immune responses to the product and to the vector. Again determine whether germ
18 line transmission of occurs from following hepatic administration. And obviously to
19 determine if this approach will result in an improvement in the clinical course of
20 hemophilia B.

21 (Slide.)

22 The study design will be an open labeled, dose-escalation safety trial,
23 administered by infusion into the hepatic artery from the catheterizing up from the
24 femoral artery. Again three subjects will be enrolled in each of the three treatment
25 groups, low, medium and high. The low doses will be projected to reach levels of one
26 to two percent based on the earlier mice and dog data.

27 This protocol has been approved by local IRBs and IBCs. It has been
28 reviewed by the OBA and currently is being reviewed by the FDA. And this factor
29 preparation would be made at Avigen as before.

30 I think because of time I cannot get into the specific entrance and
31 inclusion and exclusion criteria but it may come up in the discussion.

32 DR. JOHNSON: Thank you, Bert.

33 Questions?

34 Phyllis?

35 DR. GARDNER: In the preclinical animal models when you
36 administered -- I have two questions. When you administered AAV to the muscle in
37 the clinic you see some serological -- I mean, you see some evidence in the serum for
38 a day or so. In the preclinical animal models did you see any evidence that you got it
39 into other organs, including the liver when you administered it by -- to the muscle?

40 DR. GLADER: Mark, do you have the biosafety distribution data on
41 that?

42 DR. KAY: I do not know if Roland or Linda has that.

43 DR. GLADER: Roland might have that.

44 DR. KAY: I mean, there is some PCR positive signals in other tissues
45 but I do not believe there is any double stranded DNA forms.

46 Do you want to say something, Roland?

47 DR. GARDNER: My second question is when you look at your patient
48 population, which is to a large degree hepatitis C positive, some hepatitis B, how does
49 that make you feel in terms of hepatic transfer?

1 And following up, whether it is vector related or not, you are going to
2 have incidence of hepatocellular carcinoma.

3 DR. GLADER: We are going to have an instance of hepatocellular
4 carcinoma. I think that is part of this discussion here. I do not know if it is simple. I
5 think we -- it is the whole issue of we spent a lot of time with our hepatologists talking
6 about this and obviously from the things you have heard this morning, as well as the
7 fact that if you were to do this study in adult hemophilia patients, they are hepatitis C
8 positive. I do not know what the number is, 80 percent, somewhere up there.

9 And the risk of hepatocellular cirrhosis and hepatocellular carcinoma is
10 there and the advice we have gotten -- unfortunately, one of the hepatologists we
11 wanted to have here to address this issue is still up in Boston as I understand. But the
12 advice we had is that we are requiring liver biopsies in these -- in patients in the liver
13 study and the -- and people who have a grade two on a zero to four scale biopsy prior -
14 - at the -- within two years of starting this study will -- would be eligible.

15 And our guidance and advice for this has been, you know, excluding
16 anything else you have heard this morning, is that this will not increase the risk of
17 accelerating any process because these people are very, very low risk for going on to
18 develop cirrhosis or hepatocellular carcinoma in a period of ten years. It has been
19 given a lot of thought.

20 DR. JOHNSON: Mark?

21 DR. HALSEY: Peter Halsey, Aventis Behring. Could you comment
22 on the stability of expression? When did you measure 1.6 and how much was it at the
23 end of the observation period?

24 DR. GLADER: The one person who had a significant clinical level, I
25 think the 1.6 was measured earlier on but even now I think it is a little over a year out
26 and it is still over one percent.

27 DR. JOHNSON: Roland?

28 DR. DAWES: What would the dangers be in increasing the
29 intramuscular dose?

30 DR. GLADER: Again there are preliminary -- Roland, you may want
31 to comment on this. There are data that the concentration of vector per site may have
32 something to do with inhibitor formation, which could -- you know, if you could give
33 an increase dose or have an increased concentration, obviously it would make a lot of
34 things easier but I -- Roland, Dr. Herzog?

35 DR. HERZOG: Yes. We have looked at that in the Chapel Hill
36 hemophilia B dog model, which is a missense mutation model. These dogs have a
37 missense mutation but they do not have any circulating Factor IX. So they have -- so
38 they make the protein but it probably is not stable. It is either not secreted or it is
39 degraded intracellularly.

40 And in these dogs we have done a dose escalation study and we were
41 able to get long-term expression in all of the animals that were injected up to a certain
42 vector dose. But when we increased the dose per site from 2×10^{12} vector genomes per
43 site up to over 10^{13} , which was about a six-fold increase, then we found formation of
44 an inhibitory antibody that lasted for about a year.

45 So looking through all the data and having injected additional animals,
46 it really looks like that you can actually get an inhibitor if you overdose per site. And
47 talking to our immunology collaborators that seems to make sense from vaccination
48 type studies that you would have to be worried about how much antigen you put into
49 one intramuscular site.

1 So while -- at the same time this should also be influenced by the
2 underlying mutation so it is very well possible that this would not have been a problem
3 in these patients that have no history of preexisting inhibitor formation. And a lot of
4 these patients also have actually circulating but nonfunctional Factor IX.

5 So they might be from an immunological point of view even more
6 protected just to be on the safe side where we did not want to dose, you know, what
7 gave us a problem in this particular animal.

8 DR. JOHNSON: A question at the table?
9 Jay?

10 DR. SIEGEL: Yes. I think you mentioned in your last slide that the
11 proposed hepatic study had IRB approval. I was just wondering how long ago that
12 happened and did they have access? Well, just because a lot of information is
13 developing recently, was there discussion of consent and tumor risk in the animal
14 data?

15 DR. GLADER: It has been back to the IRB several times and it is in
16 the process right now in light of new information.

17 DR. JOHNSON: Mark or Terry?

18 DR. FLOTTE: I had another question that might relate to the rationale
19 for the hepatic delivery. It seemed to me in that one slide we saw of
20 immunohistochemistry from the skeletal muscle from the treated patients that a lot of
21 the signal was in the extracellular matrix in the paramesoneurium. It seemed a little bit
22 reminiscent to me of your earlier mouse studies where it seemed that there was an
23 advantage of hepatic delivery over muscle delivery in mice because of that problem.

24 DR. KAY: Definitely there is a 50-fold dose advantage at least using
25 the best muscle vector versus the best liver vector and the issues around that are
26 unclear. I mean, there are still issues about muscle vector, about using muscle specific
27 promoters, other types of serotypes, et cetera, that may actually allow one to increase
28 the injection dose per site without getting inhibitors at least in the dogs.

29 I wanted to make a comment about the HCV issue. The hepatitis C
30 virus is a cytoplasmic virus and this is -- AAV is a nuclear virus and we have talked to
31 a number of HCV virologists, including Frank Shizari, Margaret Cozell, who is at
32 Harvard, who was supposed to be here today and unfortunately could not make it, and
33 from the best of their knowledge there is no reason to think that there would be an
34 interaction between the two viruses.

35 One of the things we worry about and we have looked for this is
36 cytokine stimulation with AAV thinking that that may exacerbate the HCV and from
37 what I understand with HBV that certain cytokines actually decrease the hepatitis B
38 titers.

39 So there is really no reason to think about the -- to think that there is
40 going to be an interaction that is going to be problematic in that regard.

41 The issue that Bert brought up, I think, is an important point and when
42 we have talked to our hepatologists, many of them said we could probably go to stage
43 3 patients but to be safe we decided that we only want to go to stage 2 or less because
44 again the risk of them developing HCC and severe cirrhosis over a period of ten or
45 more years is extremely low.

46 DR. JOHNSON: Any other questions or issues?

47 DR. MICKELSON: I just have one.

48 DR. JOHNSON: Claudia?

49 DR. MICKELSON: I just had a fairly naive question. Some of the

1 patients were HIV positive which might affect the immune system. Do you expect
2 any kind of issues? If you are looking at animal data here now where there might be
3 some impact of the underlying disease on immune function and tumor development,
4 are people looking at the HIV infected patients quite closely? I would hope that --

5 DR. GLADER: We have not excluded them as patients recognizing
6 they may not mount an immune response to a lot of different things and they are --

7 DR. MICKELSON: I was only concerned about an increase of some --
8 there might be some risk of them developing a tumor.

9 DR. GLADER: More prone to developing a malignancy.

10 DR. MICKELSON: Yes, that was all.

11 DR. GLADER: That is a concern.

12 DR. MICKELSON: The other thing, I might have misread the slide
13 because I must say sometimes I have had a hard time seeing, were there some patients
14 that were negative by PCR but positive by histochemistry? There was one patient on
15 the slide there. I was just wondering if that was the case, how was --

16 DR. GLADER: Yes. I think the -- my colleagues in Philadelphia who
17 are doing this -- as you may be doing a cut -- you are making a different cut of where
18 you do your histochemistry from your PCR and so it is conceivable you can miss it
19 and that is why three different things were done.

20 DR. KAY: Can I make another point about that? There is two patients
21 where there has actually been a Southern blot done and you can use the CMV
22 promoter as a probe which will not be present in human muscle tissue and if you get a
23 good biopsy site you can demonstrate one to seven copies of the AAV genome, double
24 stranded genome. So there is clearly evidence for double stranded AAV formation in
25 some of the biopsies.

26 DR. SAMULSKI: Can someone speak to the doses that have been
27 used in the patients compared to the animals, whether it is translating?

28 DR. KAY: Does Roland want to comment? In the muscle trial, the
29 doses?

30 DR. SAMULSKI: Yes.

31 DR. KAY: The dose in the muscle trial was predicted based on the dog
32 studies not to lead to any serum or plasma Factor IX detection and actually we did not
33 expect that we would see definitive low levels of Factor IX until we got to one -- let's
34 see. It was -- the highest dose. When we thought we saw some evidence of gene
35 expression and because of all the issues that had arisen with safety, we decided to back
36 off from the dose escalation. And instead of incrementing by one log unit, we went up
37 only a half a log unit into the mid dose.

38 DR. SAMULSKI: Is that correlating with increase?

39 DR. KAY: Well, at this point, no. I mean, the N is small. Between
40 the low dose and the mid dose there really has not been any difference but you have to
41 realize that this was done with different lots of vector and there can be a two or three-
42 fold difference in vector titering and now you are only going up half a log so the
43 question is, is it really that -- is it really an increased dose or not.

44 DR. JOHNSON: One last question.

45 DR. FRIEDMANN: A quick question. In your tattoo marking do you
46 mark simply the site of the injection or do you, in fact, put the India Ink in with the
47 vector? Do you mark internally the site of the injection?

48 DR. GLADER: The area is marked beforehand and then that is where
49 the injection is made in that area.

1 DR. FRIEDMANN: Is the tattoo material included with the vector per
2 se?

3 DR. GLADER: No, it is not included with the vector.

4 DR. FRIEDMANN: Is that a good idea or a bad idea? I mean, if you
5 are going to rely on Southern -- on molecular --

6 DR. GLADER: That is a good question. The fact that we seem to be
7 getting expression in all the biopsies to date but, you know, it is a very good question
8 because it could be a hit or miss thing.

9 DR. JOHNSON: Okay. We are going to take a break until 4:00
10 o'clock. Please fill out the yellow evaluation forms that are in your packet. Also sign
11 up for airport transportation. Be back at 4:00 o'clock to do the roundtable.

12 (Whereupon, at 3:42 p.m., a break was taken.)

13 ROUNDTABLE DISCUSSION

14 DR. JOHNSON: Okay. We are pressed for time here. We have got a
15 large number of questions for panel discussion 2. Claudia is going to lead this
16 discussion in a very precise and focused way so that I can get home basically. I
17 am flying out.

18 We are going to finish on time here come hell or high water or snow.

19 So I am going to turn it over to her to let her lead the discussion and,
20 please, again, as you did in the first panel discussion, let's try and focus on the
21 questions as much as we possibly can to get the answers that will help the OBA.

22 DR. MICKELSON: Most of you should have your panel -- your
23 questions in front of you for panel discussion 2. And, although they are not up on the
24 screen, essentially they have to deal with not that there is information about tumors in
25 laboratory mice. The FDA placed all AAV clinical trials on hold. Studies were
26 permitted to resume with additional data indicated the findings appeared to be isolated
27 to the experiments with AAV in the MPS VII knockout mice and re-review of the
28 clinical experience with AAV products indicated an acceptable safety profile.

29 So some of the questions, at least the first one, is should clinical
30 investigations with AAV containing products be halted until additional data
31 addressing the oncogenic potential are available and, if so, which data should be
32 obtained prior to the resumption of clinical studies?

33 Hopefully, we can hear enough intelligent opinions on this that we can
34 certainly give some direction or at least make a -- does anyone feel that the data that
35 has been discussed and presented today bodes enough that we should be suggesting
36 that clinical investigations with AAV vector backbones be halted until additional data
37 comes up?

38 DR. GARDNER: Well, I will jump in because I always like
39 discussion. It seems to me that based on what -- the carcinogenicity model that was
40 presented that that particular transgene construct AAV with the particular transgene
41 and particular promoter has to be reassessed repeating the preclinical animal model to
42 see that it was not some contaminant of the vector, as well as looking at some of the
43 parameters.

44 Having said that, I would also wonder -- and I asked Bert Glader, my
45 colleague here, about the setting -- because there is enough of a red flag, should that
46 be repeated. I would wonder in the hepatic administration of an AAV transgene
47 vector in the setting of something like hep C, whether or not you might need more
48 studies.

49 So I asked him if whether you needed to do animal -- if there was an

1 animal model of hep C because it would be very nice -- it is going to be very -- if there
2 is not is what I heard but the danger to me is that you are -- you know, as you follow
3 these patients out, they are going to get hepatocellular carcinoma and nobody is going
4 to know whether it was transgene. I mean, you are going to have to make a guess
5 whether or not there was any effect of the transgene and that is just something that is
6 going to confound things for all of AAV if you are not careful.

7 DR. MICKELSON: Any other comments?

8 Yes, Mark?

9 DR. KAY: Actually that is another area we work on are animal models
10 for hepatitis C and the only animal models to date are chimpanzees. We have been
11 making xenografts of human hepatocytes in mice and have demonstrated successful
12 hepatitis B and D infection but we have not yet succeeded with hepatitis C, and we
13 and a number of other groups are trying to do this.

14 With that said, we have consulted with some of the world leaders in
15 this field and nobody feels that the current animal models for hepatitis would be
16 valuable for these kinds of studies.

17 DR. MICKELSON: Dr. Zeitlin?

18 DR. ZEITLIN: I think that the issue of all clinical trials on hold is an
19 important one and I would propose that each trial be looked at specifically for the risk
20 factors related to the disease, the age, maybe the gender and the method of application.
21 So how far is this vector going to penetrate? For example, in our inhalational trials we
22 at least have preliminary evidence that we are not getting dissemination, making
23 hepatic tumors much less likely.

24 So I would sort of ask that we look at it on a more individual basis.

25 DR. MICKELSON: Dr. Gordon?

26 DR. GORDON: I just think that before a decision like that is made,
27 you know, I think it is useful to think clearly about what kind of information is
28 actually before you and how to react to it. And to segregate in your own minds what
29 is speculation about a causative effect versus what is established as a causative effect,
30 take for example the issue of underlying hepatitis. It is certainly true that those people
31 have some risk of getting carcinoma from hepatitis C but for all we know right now
32 AAV may protect those people from getting it. We do not have any evidence
33 whatever that it would aggravate it and all of this is actually speculation.

34 So in my own view until this single incident under these specialized
35 circumstances is validated, I do not think it is justified to hold up clinical studies of the
36 vector.

37 DR. CRAWFORD: I was just going to make one comment about the
38 difficulty of hepatitis C models. There was a report out of Tulane some years ago for
39 an in vitro cell culture model which has not been replicated. The most recent is the
40 Replicon model where it is an incomplete hepatitis C cell culture model, which we
41 actually have no operative in Florida, and it is conceivable that you could do some
42 kind of Ames assay or at least look at the effect of AAV on replication of the hepatitis
43 C genome.

44 But I think the ability to extrapolate that sort of ex vivo work to the
45 human condition is still a very long ways away so I do not think you are going to get
46 experimental data from hepatitis C type models that is going to help directly with the
47 human condition in the near future.

48 DR. MICKELSON: Any other comments?

49 DR. AGUILAR-CORDOVA: Yes, I have a comment.

1 DR. MICKELSON: Dr. Aguilar?

2 DR. AGUILAR-CORDOVA: So with regard to also the data that is
3 presented, I think that it is also interesting to note that Mark mentioned that there
4 would be up to two logs difference between the various lots of vector that they are
5 producing. I also saw a significant variability in the infectious units or infectious units
6 versus the particles. Given that some of these tumors might not have even contained
7 the sequence that we are talking about, were all these materials tested for any
8 contaminating product?

9 And so without really standardized methods or well-characterized
10 vector, it is difficult to assess the causing agent. And this applies for any toxicology
11 study if it is going to be taken as a real toxicology study.

12 DR. GARDNER: Even if it helps prevent the appearance of
13 hepatocellular carcinoma, one can anticipate that there will be a controversy when that
14 arises so to have as much data available is extremely important even -- because the
15 public outcry is going to be very large. They are not going to understand the nuances I
16 can assure you about this. It seems to me that one has to plan for that event.

17 DR. MICKELSON: Dr. Flotte?

18 DR. FLOTTE: Just again a comment not so much about the hep C
19 consideration but I think again in terms of considering the data as a whole, we have a
20 virus that probably 80 percent of us in this room have been exposed to in one form or
21 another and have never been associated with the formation of a tumor even though we
22 know that some of those viruses do not have functional rep.

23 We have in vivo experience from a wide range of laboratories and
24 other models that do not show an incidence of carcinogenesis that is consistent with
25 the current experiment under discussion so clearly there is something different in that.
26 We show that statistically in our case but it is certainly different by inspection with
27 others.

28 And on the other -- and we have a system that generally has no dose
29 limiting toxicity. It is the -- it is one of the safest biological agents that has ever
30 reached this stage of development.

31 On the flip side of it we have an agent which many groups, including
32 Dr. Sands, have shown after a single administration holds tremendous promise for
33 correction of long-term genetic metabolic disorders. And I think first of all my own
34 opinion, admittedly biased, is that it would not be fair to the disease communities to
35 halt trials certainly altogether. But I think also one has to be very judicious in terms of
36 even restricting the trials based on incomplete datasets or data derived from studies
37 that were done for other purposes.

38 You know, I think understandably so there is a tendency towards taking
39 the most conservative approach but I think taking the most conservative approach does
40 not -- should not always entail sort of worst case scenario thinking and so I just want
41 to lay that before the committee.

42 I think if you look at the data in the aggregate it certainly would
43 support compared to other agents that have progressed through clinical trials, it would
44 support continuing with attention to this particular complication.

45 DR. Knazek: I just want to mention that there are quite a few hepatitis
46 C positive chimps that are available for study at the primate centers around the country
47 and that we could certainly help with that.

48 DR. JOHNSON: Yes, that is --

49 DR. Knazek: Lots of chimps.

1 DR. JOHNSON: It is a lot harder to do experiments in chimps than it
2 is humans.

3 DR. Knazek: No, I know.

4 DR. JOHNSON: And to our knowledge they do not develop
5 hepatocellular carcinoma after hepatitis C.

6 DR. KAY: And the problem is doing enough animals to get a
7 statistically significant dataset. I mean, one thing I would say is I think before we
8 make too many decisions about HCV issues, it is unfortunate that there is no
9 hepatologists or HCV virologists on the panel and we actually had invited one who
10 had agreed to come but again because of the weather issue -- but it is something that
11 we have really taken extremely seriously and talked to a lot of people about.

12 One thing I would like to point out is that there were some issues raised
13 about the ethical nature of doing liver biopsies on these patients before treatment and I
14 just want to point out that at most centers now it is recommended that before patients
15 go on antiviral therapies that they do get a liver biopsy to stage their hepatitis C.

16 DR. BREAKFIELD: I ultimately think that this decision -- this very
17 pithy decision resides with the FDA and I think people have different views about how
18 they would handle it if it was their choice. I would say that I am sort of more of the --
19 just personally more think that in each trial the route of administration should be taken
20 into account.

21 But given this data I am a little uncomfortable, you know, going
22 directly to the liver at this time without more information myself, personally, I would
23 say. And I think the FDA has to take -- you know, they have their own group of
24 people and they have to decide for themselves whether in hemophilia, which is not
25 life-threatening, are they concerned enough that they would like to see a little more
26 data before they put this into these patients.

27 DR. MICKELSON: Other questions?

28 DR. SAMULSKI: Can I make a comment? You know, first of all, I
29 think Mark Sands should be applauded for bringing this out to the open. Technically
30 if he would have taken the approach of trying to reproduce this, no one would have
31 known anything for two more years. And we would not have even addressed any of
32 these points. And he may either have reproduced it or not reproduced it and it has
33 gone by the wayside.

34 But be that what it may, the community has been presented with a
35 scenario that says we have an unknown here and how do we go forward and take into
36 account the patients and take into account the appropriate scientific approach.

37 And from my perspective I have seen no data today that has argued that
38 the viral vector is an insertional mutagen. Even from the most simplistic approach that
39 it should be present in all the tumors has not been substantiated.

40 Now granted we need to do experiments to see it technically. We saw
41 data for the first time. But if you are going to use this type of approach to stop the
42 field completely, you need to ask how many people are going to generate data that
43 may not be as good as Mark or as cautious as Mark that will bring to this committee
44 the potential of stopping everything again and what are we ultimately going to end up
45 doing resolving scientific problems at everybody's lab?

46 Are going to take a logical approach at how to move forward?

47 So I think I would like to make it clear that my assessment of this is
48 that I have not been convinced one way or another that there is any risk factor or that
49 AAV is not responsible and that data has to be generated but at the same time I do not

1 see how this body should be making statements about policy for whether clinical trials
2 should go forward.

3 I think the FDA should chime in and give us some guidance here
4 because we are clearly out of our league and we are making some suggestions that
5 probably are not appropriate.

6 DR. SIEGEL: Well, I guess it is worth nothing that the question does
7 not ask specifically whether the FDA should put these trials on hold. It asks whether
8 this research should be halted because, in part, in fact, this committee has not been
9 briefed or educated on what the FDA regulations are, what are the rules, how we deal
10 with incomplete information. And, in part, to reflect the fact that this is a bigger
11 picture.

12 The question as to whether to conduct these trials or to wait until there
13 is more safety data available is a question that needs to be addressed by investigators,
14 by IRBs, by granting authorities and by regulatory authorities.

15 So I did not really mean to focus it or we did not mean to focus it too
16 much specifically on what an FDA decision should be. Nonetheless, the FDA will --
17 you know, is continually making a decision. At one point in time we made a decision
18 to stop everything but we got more information and as we got more information we
19 made a decision to allow, as we always do, on a case by case basis but we will
20 constantly reassess that.

21 Any decision this committee would or could make would perforce be
22 made at one point in time. And although most of the experiments we are talking about
23 are going to take a couple of years or longer, there will be more information obtained.
24 There has been on a weekly or every few weeks basis over the last two or three
25 months and there will be more information obtained in the near future so it is very
26 hard, I think.

27 I think I am not sure I fully understand your question but I think it is --
28 it would be difficult for this committee to make a judgment on this field of science of
29 do not do the research or do the research but rather we are hoping, though, to elicit
30 what are the considerations and based on where we are now what is or is not an
31 appropriate way to proceed.

32 DR. JOHNSON: I think the role of this committee probably is better
33 viewed as scientific advice rather than regulatory advice.

34 DR. MICKELSON: Absolutely.

35 DR. JOHNSON: I mean, I think there are plenty of regulatory issues
36 that we have not even begun to address today and rather we have been trying to judge
37 the body of science that points out -- I mean, this is the first time I actually have seen
38 the data or heard, you know, anecdotal reports and so on and so forth.

39 So I think, you know, it takes time to digest this and there is always a
40 reasonable middle ground when you are faced with situations like this, I think, and I
41 think you just -- you know, basically stated that one moves ahead with caution
42 constantly evaluating data and constantly evaluating the situation and at the same time
43 move ahead with the science, and go ahead and gain additional new knowledge that
44 will help down the road.

45 So I agree with what Jude said. I have not seen anything today that
46 made me believe that there is -- that AAV is an insertional mutagen. It does not mean
47 it is not but today I do not think that reasonable science would say that it is.

48 So, therefore, what we are left with is the fact that there are a nice
49 dataset that needs to be addressed, the experiments need to be repeated, maybe

1 additional controls need to be done. That is going to take time. At the same time it
2 seems to me that this body should not make any statements about hold on clinical
3 trials, et cetera.

4 DR. MICKELSON: Eric?

5 DR. JUENGST: Yes. As kind of the layperson on the committee I
6 wanted to echo what Dr. Samulski was saying. First of all, in congratulating you for
7 bringing this to the public. That phase of the process, I think, is a really healthy move
8 and something we should encourage even on, you know, other kinds of reports that
9 might be dismissed even more easily than this one.

10 But my sense sitting here listening, as best I could, was that the answer
11 to this question should clinical investigations be put on hold is no.

12 There is an answer, though, to the second part. There is some data that
13 still needs to be gathered and that is the repetition of the experiment, et cetera.

14 DR. MICKELSON: Basically it is trying to assess our comfort level
15 with what we heard today. I would certainly agree with Dr. Gordon's comment. I
16 would think -- I do not think that we saw data today that would be enough to ask or to
17 even feel that we should be looking to have the clinical investigations with AAV
18 products halted

19 Of course everybody is interested in more data and repetition of the
20 study and looking to see if this was something specific to that particular combination
21 of transgene and mouse model so that people can put the appropriate things in place.

22 My only concern from what we have heard today is something we will
23 discuss later is what is it that we are going to ask investigators to tell their patients
24 now about this and what are we going to ask them to look for in their patients?

25 DR. JOHNSON: So is that question number three?

26 DR. MICKELSON: I think that is, yes.

27 DR. JOHNSON: Okay.

28 DR. MICKELSON: So is there anyone who feels that we should be
29 halting the clinical trials? Speak now because we are moving on. I did not think so in
30 this group. See me later if you feel that way.

31 (Laughter.)

32 DR. SLY: Could I ask just one clarification?

33 DR. MICKELSON: Certainly.

34 DR. SLY: Are there any clinical trials that involve this particular
35 promoter CMV construct?

36 DR. MICKELSON: Other than at the moment, no. No, no clinical
37 trials.

38 DR. SLY: Okay.

39 DR. MUZYCZKA: There is some plan that involves that CMV
40 promoter, yes.

41 DR. MICKELSON: Yes.

42 DR. SLY: This exact construct?

43 DR. MUZYCZKA: No, not the beta glucuronidase construct.

44 DR. SLY: No, I mean basically CMV.

45 DR. MUZYCZKA: Beta actin, yes.

46 DR. GARDNER: I suppose that I would say that I do not think this is -
47 - I mean based on everything we saw today if I had to place a bet that it was AAV
48 insertional mutagenesis, I certainly would not halt airway trials. I would not halt
49 muscle trials. But I started to get more nervous as we approached the precise model

1 where this was seen and so as you replicate the route, you replicate the vector, you
2 replicate the promoter, then you replicate the transgene, the closer that you get to what
3 caused it, I would get more uncomfortable.

4 So I am not quite ready to say halt. I mean I would not halt some
5 particular human trials that might have elements of this. And one element is direct
6 hepatic administration and another would be with this same promoter, et cetera.

7 So I just wanted to throw that in.

8 The one thing I also wanted to bring up was I think that the experiment
9 -- everybody says, well, you have got to repeat the experiment but it is a two year
10 experiment and it is probably a costly experiment. And, indeed, if you wanted to do it
11 and not wait two years to see if this is repeated but test some of the other factors such
12 as strain, specificity, transgene specificity, promoter specificity, it is a pretty big trial
13 on mice. I mean, you are going to have to look at different lysosomal enzymes. You
14 are going to have to look at different promoters. You are going to have look at
15 different routes of administration, et cetera.

16 Who pays for that?

17 DR. MICKELSON: NIH.

18 DR. JOHNSON: Where is Kathy? She is disappeared. Again I think
19 we can deal with that in the working subgroup. I do not think we are going to answer
20 that today. There are mechanisms by which it can be funded. I am certain of that.

21 DR. SANDS: If I can just make a quick comment, and it is really to
22 just highlight what Jude said. I have had the luxury or, if you will, the headache of
23 thinking about this longer than anybody else in the room. And having had more data
24 generated, seeing Terry's work, talking to Mark Kay, Kathy High, there is really no
25 answer here. We have no mechanism. Nothing right now points to AAV. On the
26 other hand, nothing points to MPS VII yet either.

27 There is really -- we have no information and none of these studies, our
28 study, Terry's, nobody's study really was designed to answer these kinds of questions.
29 So to try to make a decision, I think, is extraordinarily difficult and I do not have an
30 answer and I am not willing to voice an opinion on whether trials should be stopped or
31 not. That is not my place.

32 But I also think we do not have enough information to really make, you
33 know, the best educated decision. I think the best thing we could probably come out
34 of this meeting with is a plan on how to set up or perhaps set up a toxicology study
35 and really get to the route of the problem.

36 Now in the interim, you know, I do not envy the FDA at having to
37 wrestle with the issue of these clinical trials because there is not enough information
38 there.

39 DR. SIEGEL: Would you concur -- I guess there were opinions
40 expressed by Drs. Breakefield, Gardner, Zeitlin and perhaps others that not -- in this
41 state of uncertainty there is probably more concern about administering vector to the
42 liver than say local administration. Would that be your perception as well?

43 DR. SANDS: That is my perception only because that is where we saw
44 the tumors and when we give a high dose injection of virus most of the virus goes to
45 the liver. Now exactly how much gene transfer are you getting with an aerosolized
46 delivery of virus, is it comparable to what you are getting with a bolus injection in the
47 liver? I have no idea. I do not have even know how to measure that.

48 So I think the fact that we saw hepatocellular carcinomas, you know,
49 makes us all think about the liver but again there is just not enough information there.

1 I do not know.

2 DR. JOHNSON: Again I think we have to make sure that we are
3 keeping our eye on the data and letting the data drive decisions and I am not sure that
4 even though you are right -- I mean, we do not have data that would make anybody
5 comfortable 100 percent one way or the other. I think there is enough information that
6 we can say that this group should try to help direct the science. And that seems to be
7 me to be the best thing that we can do.

8 Don't forget, also, that you had tumors outside the liver as well, a
9 uterine tumor and a tumor at the base of the brain. So I think that, you know, it is not
10 fair just to say the liver because you did have tumors at other places. So I think it is
11 dangerous to start going down that pathway to single out a single organ and say, you
12 know, we cannot do it in this organ because vector will get to the liver no matter
13 where you put it.

14 DR. GARDNER: You could say local versus systemic administration
15 and you can also look at the question of on local administration -- for example, the
16 airway epithelial turnover and slough very quickly so your dose is going to be a
17 different thing. In muscle you have yet another issue.

18 When you have to make a guess at every one of these -- and I were the
19 FDA I would not halt airway trials -- believe me, this is not a personal thing. I think
20 there is a lot more safety data on the airway. In terms of the hepatic it was not just that
21 but it was a high dose systemic administration.

22 DR. SIEGEL: Could it not be though that, in fact, the exposure to a
23 given cell is higher in certain local administrations than in systemic and that maybe
24 the risks are higher?

25 DR. AGUILAR-CORDOVA: Yes. So I think I want to echo a little bit
26 of what Jude said and that is that there is no data to support the conclusion at this point
27 from what I have seen. I cannot come to the conclusion that the vector was a
28 causative agent in any of this and I imagine that if we were to receive a manuscript
29 that said that this caused tumors, AAV caused tumors, it would be rejected as a
30 conclusion.

31 And so I see that it would be difficult to go as a regulatory agency and
32 say we are going to stop any trials based on scanty data which cannot be used for a
33 definitive conclusion in a scientific manuscript.

34 DR. SIEGEL: Let me just assure you that would not be difficult. That
35 is not a problem. We do not require an extremely high standard of proof that a
36 treatment is harmful in an experimental chimp before we stop the experiment.

37 DR. AGUILAR-CORDOVA: But at the same time I would imagine
38 that there would be some semblance needed to have a good indication or strong
39 indication prior to just using basically a phenomenology like it was said that was not
40 designed as a toxicology study and so at the very least one would need that.

41 DR. MICKELSON: Ted?

42 DR. FRIEDMANN: I do not know quite how to say this so I will say it
43 carefully. First of all, I think that the science we heard today and the process of all
44 this procedure has been wonderful. I think that this is exactly what the scientists and
45 the clinical investigators and the FDA and the OBA should be doing. So I think that
46 this has been a very, very sort of constructive and optimistic and useful exercise, and I
47 think it is all going in the right direction.

48 I think we have gotten a little bit too tied up in the discussion today
49 with mechanism that we all admit we do not know and we all expect that if we see

1 tumors develop in these gene transfer kinds of procedures that they must have to do
2 with insertional mutagenesis and the data today convinces all of the people who have
3 looked carefully that there is no indication that convinces anyone that there is
4 insertional mutagenesis involved in this phenomenon.

5 But we did hear today people -- a number of people say that it is
6 looking like that something in this material, in this product, as partially characterized
7 as it is, the fact that it is not fully GMP characterized material, something in this
8 material may have had something to do with the development of tumors in this case.

9 And, therefore, rather than worry about explaining it on the basis of
10 insertional mutagenesis, I think what we should be asking is in this material is there
11 something that is related to the development of tumors?

12 And I think a manuscript sent to a journal saying that in this system
13 AAV has done something would probably be just as likely to be rejected as a
14 manuscript saying that AAV in this material caused a tumor because we do not know
15 what the mixture of stuff is in this preparation.

16 So I think what I am saying is that I have a slight feeling of discomfort
17 of mechanism. We do not know what the mechanism is of this event. Whether it is
18 related to AAV or not we do not know and that is why, of course, all of us are so
19 anxious to see a repeat of the experiment and why it is so terribly difficult to know
20 what to do in the meanwhile.

21 But I think to say that we have not seen proof of insertional
22 mutagenesis and, therefore, we should not be worried about the mechanism, I think
23 that probably that is a bit of a mistake and we should be very careful to say that our
24 first job now is to know if we can repeat the experiment, repeat the phenomenon, and
25 if we can, in fact, repeat it with material that we have fully characterized and we know
26 is, in fact, AAV related rather than related to something else in the preparation.

27 So I agree completely with Jude's comment and all the other comments
28 but let's not get too tied up with mechanism. Let's not get too tied up with trying to
29 attribute it to insertional mutagenesis. We have heard this material described earlier
30 today as a carcinogenic product possibly and if you just call it that rather than AAV
31 then I think it may shape the decision.

32 DR. MUZYCZKA: Let me just make one quick comment because I
33 have to leave. I want -- I agree with Jude and with what Estuardo said earlier. On the
34 evidence we have heard today, and I would take issue with you, Ted, the evidence we
35 have heard today basically allows us to conclude that there may be a problem in the
36 MPS VII model and that is it.

37 On the issue of the vector we heard plenty of evidence that said
38 regardless of how you felt -- clearly there is no insertional mutagenesis involved here
39 or very likely there is not. We also heard a lot of evidence that says there is nothing
40 else in that particular stock that is involved here because we had a large number of
41 experiments that are -- a number of experiments equal to the ones we heard on the
42 tumor side with essentially the same type of material, the same preparation material
43 and there were no tumors there.

44 So the preponderance of evidence, as I see it, says there is something
45 unique about this particular model when you correct it that creates a tumor. Whether
46 it is the IGF receptor issue or something else I am not sure. That is where the
47 evidence is pointing me.

48 That kind of evidence says we should not stop trials. We should do a
49 fairly conventional and what will have to be commonly conventional toxicology,

1 oncology tox study for viral vectors. Since we have never done such a thing before,
2 we will have to design it. But I do not think we should be stopping trials and I would
3 say no to all these questions that you have here. They all assume that we have shown
4 that we are going to get tumors from this thing and we have not shown that at all.

5 On that, I have got to go.

6 DR. MICKELSON: Phil?

7 DR. NOGUCHI: I would just like to reflect a little bit on what this
8 public discussion is all about. There are several of us in the audience who actually
9 participated in the first gene therapy meeting at the Cystic Fibrosis Foundation a
10 number of years ago where the issues were perhaps not quite as dramatic as this was
11 but the type of interaction was precisely like this. This is precisely the kind of
12 interaction and sharing of data, spurring each other on, and not being complacent
13 about data that I think really leads us on.

14 Ironically if you look closely at the clinical studies that were talked
15 about in depth today, Dr. Zeitlin in particular, that all came from that cystic fibrosis
16 meeting, which was all about adenoviruses. All that discussion really led to the
17 conclusion that if you are going to use adenovirus in cystic fibrosis it had to be done in
18 a special way and many people just said, "I have seen enough. Let's move on."

19 That is where AAV discussed. That is where retrovirus were
20 discussed. That is where all the plasmids, the gutted adeno, and I would say that what
21 we hope -- one of the purposes that we hope that this discussion really shows you is
22 you cannot be complacent in science.

23 Terry, you made the statement that this is the safest vector so far. We
24 would not look at it quite that way. What we would say is that it has many properties
25 that is compelling for clinical trials but all biologics have consequences. Some of
26 them minor, some of them major.

27 We can deal with them but we need to deal with them together because
28 I have already heard today about seven different separate collaborations which will
29 lead in different directions, some of which will address the tumorigenicity issue.
30 Some of which really should start addressing how do you control the expression if
31 only a few cells get transduced when it is kind of evident here that over production at
32 very high levels may in some cases not be the best idea.

33 That is a factor for hemophilia where you want enough but not too
34 much and where the current clinical data -- why don't you want to just enhance the
35 muscle? Well, that is being based on animal data that is being generated right now.
36 What we surely do not want are inhibitors because then you have to use nonhuman
37 products to treat hemophilia. That opens a whole other bag.

38 So at least for at least some parts of this one of the biggest lessons here
39 is, you know, biology is exciting but it is also very tricky. The evidence that Mark
40 Sands -- and I will echo everybody for him bringing that to both the NIH and FDA's
41 attention -- absolutely needed to be discussed and it needed to be shared, and we need
42 to get more good minds on this, and we need to get more minds that may not even be
43 interested in tumors or in GUSB or in MPS VII but are interested in perhaps the
44 mechanics of delivery.

45 That is what these CF Foundation meetings really did. It brought
46 everybody together who may not have had a direct interest in CF or in the gene or any
47 of those things and, in fact, you really saw Mark's interest was in curing mice. Well,
48 that does not mean his data is suspect at all. This is the very essence of basic science
49 and I challenge the basic scientists to just look at it that way.

1 Go where the data lead you. Yes, we want to have some input as well
2 on what do we do with clinical trials but, you know, I mean it is a two way street. Do
3 not be afraid to share this information with each other and move from there.

4 DR. MICKELSON: Louise?

5 DR. MARKERT: My opinion is that one should go ahead with AAV
6 vectors even in the liver. I think I understood this right in terms of Mark's studies that
7 the tumors were just in the group of mice that were injected neonatally and those
8 neonatal mice have -- I mean, those are totally weird. You know, they are different
9 than mice that are a week or two old or three weeks old. They are totally different
10 than neonatal humans. Neonatal humans are much more advanced immunologically.
11 And it may be just something related to that very immature state that we would never
12 see or, you know, that certainly is different than the humans and later.

13 But I think we need to see a lot more data certainly to convince me that
14 there is something that can be applied to everybody from this particular situation.

15 DR. MICKELSON: I think I would like to refocus us back on to the
16 questions and since there are no further comments, no one standing up for question
17 one, I think that in general most of the comments have said that people do not want to
18 halt any -- to halt clinical trials but that certainly there is some data -- and experiments
19 that we would like to see done, of course, continuing studies.

20 But the second question deals with are there some limits on -- in terms
21 of clinical trials in terms of patient populations that AAV -- we might consider being
22 more selective in terms of AAV patient populations. Considerations on use only in
23 serious or life-threatening diseases, age, liver function, the presence of pre-existing
24 viral infections.

25 And that whether anyone feels that these kinds of issues should be
26 taken into account for patient population selection where AAV would be the delivery
27 vector. Not even routes of administration but just AAV in general.

28 Nick, had a fairly succinct statement known to all of all of the things in
29 question two.

30 Does anyone want to comment?

31 Terry?

32 DR. FLOTTE: I already gave my generalized impassioned plea before
33 but I want to speak particularly to the age related issue. I think something has to be
34 distinguished here, which is that newborn mice are weird. I am glad that went on the
35 record that there is -- you know, a newborn mouse in terms of immunologic
36 parameters, in terms of the proliferative rate of various organs that are a special case,
37 and that really does need to be paid attention to in the science.

38 But I guess part of this comes about as being a pediatrician and a
39 pediatrician interested in taking care of patients with genetic diseases that in -- if we
40 do want to use this vector, and I think most of us are generally using it in diseases that
41 are life-threatening or serious right now.

42 On a case by case basis in some of those that only really make sense,
43 the only good trial to do ultimately might be in a pediatric population. So I guess I
44 would say as echoing some of the comments earlier in terms of case by case
45 assessment, I mean we have had again a strong history of support for the AAV
46 community from the NIDDK and from other organizations interested in genetic and
47 metabolic disorders. And I think we might end up -- if there was a general age cut off,
48 for instance, we might end up excluding doing the right trials for certain diseases and I
49 will not go into too many specific examples but I think the point is it would be a

1 different answer in terms of the risk/benefit ratio for different particular disorders.

2 DR. MICKELSON: Other comments?

3 DR. GORDON: I completely concur with the notion of a case by case
4 evaluation for administering this rather than a blanket judgment on the disease entity.
5 That analysis can cut both ways. One can make the judgment that in a serious disease
6 entity it is a greater risk to use it because the patient is more delicate. Whereas in a
7 very mild entity it may not justify the risk. I mean, one can argue themselves in
8 circles this way ad infinitum without resolving it so I really think that when a
9 physician is confronted with the patient they need to look at the patient profile on a
10 case by case basis and decide all things considered whether it is a reasonable judgment
11 to use it.

12 DR. MICKELSON: Any other comments?

13 DR. KAY: As a medical geneticist as well who treats kids, I would
14 totally agree with that and I guess one question I had was if you had a patient with a
15 severe lysosomal storage disease that would be considered a lethal illness and you had
16 good efficacy data that you could really improve the quality of life for those
17 individuals and yet we knew that there was some probability that the treatment might
18 lead to some tumor or some cancer, would you treat those patients. If you had a
19 severe patient with MPS VII, would the risk/benefit ratio be in the direction of
20 treatment even with this possible risk?

21 DR. SLY: Well, I think that is an interesting example because there are
22 no alternative treatments with these very rare square diseases or diseases for which
23 there will be no company ever developing therapy. So these patients will ultimately
24 have to treat themselves and that is why gene therapy is appealing.

25 But in answer to your question I would be a little -- I would certainly
26 be hesitant to use this particular vector and administer it intravenously for hepatic
27 uptake. I would prefer to use enzymes. I would hope that there would be enzymes
28 therapy that could hold them during -- for another two year trial or something but there
29 are many other diseases where I think that does not apply.

30 DR. MICKELSON: Any other comments?

31 It just seems that most comments were case by case basis with some
32 reference to consideration of the particular age sensitivity of the population, in
33 particular neonates. But you are right, in most cases they are life-threatening diseases.
34 I certainly would agree about age related issues for neonates but risk/benefit analysis
35 is very difficult. That is why you have committees so that you get a group consensus
36 so that a risk/benefit ratio is a mutually agreed upon discussion for clinical trials.

37 Are there other comments on any of the other issues embedded in
38 question number two?

39 No comments about testing liver functions or existence or pre-
40 existence?

41 DR. KAY: I have a comment about liver function. We discussed this
42 with our hepatology colleagues for screening HCV infected patients and there is no
43 good correlation between the liver function test and the severity of the disease. In fact,
44 if a HCV positive patient has substantially elevated liver functions there is usually
45 something else going on, especially if it is a prolonged thing and from all the clinical
46 studies the best to my knowledge and the way I understand it, there really is not a
47 good correlation and that is why we feel the necessity to do the liver biopsy.

48 DR. CRAWFORD: I would also echo that and the same could be
49 argued for cystic fibrosis. There can be an intrinsic elevation in alkaline phosphatase

1 because of the disease affecting the biliary tree so that to make a blanket exclusion on
2 the basis of liver function tests I think might be erroneous.

3 DR. GREENBLATT: Yes. Jay Greenblatt, the National Cancer
4 Institute.

5 It takes a lot for me to get up and talk because I am kind of nervous and
6 I do not like to do it so I kind of feel strongly about this. Let's look at what we know.
7 We know this product which happened to contain AAV in a particular strain of mouse
8 with a particular disease, lysosomal storage disease, which was done at a particular
9 time with no controls which in a particular animal room at a particular institution by a
10 particular investigator happened to result in tumors.

11 I just cannot see based on that that there is enough evidence to really
12 restrict AAV research in humans to serious or life-threatening diseases.

13 Thank you.

14 DR. MICKELSON: Dr. Gardner?

15 DR. GARDNER: Well, I would like to just go back to the early days
16 of cystic fibrosis when we discussed this in which adenovirus was shown to have a
17 major inflammatory response with certain investigators under certain conditions and
18 we went ahead with things, and I am not saying that we should not go ahead. I
19 actually believe we should go ahead with all the trials. The only one I would examine
20 carefully and just think about is whether direct hepatic administration is warranted
21 with the -- I mean, with the same transgene, same product, I would certainly say I
22 would hold. But with a different transgene maybe not. Just be aware of it. Be aware
23 and anticipate it.

24 But I will tell you that if we should -- one patient get hepatocellular
25 carcinoma, and people look back -- look at the Jesse Gelsinger case -- it is going to
26 have a profound impact on the stock market, on funding of trials and everything else,
27 and you have to anticipate that.

28 DR. MICKELSON: Not to mention the patients.

29 DR. GARDNER: Not to mention the patient. I agree with that but if
30 you remember that also out of this good therapies were made -- I mean, there are
31 multiple products being tested, multiple indications, all of -- I mean, 90 percent of
32 those might be safe, 10 percent might not. You cannot under estimate the danger of
33 proceeding ahead without extreme caution and informing everybody of that so that
34 that kind of thing does not happen.

35 DR. MICKELSON: I just had one -- well, Dr. Flotte?

36 DR. FLOTTE: Yes. I just wanted to make -- you know, also being
37 from the CF old days so to speak that we have been reminiscing about. The
38 adenovirus inflammatory toxicity was really not an isolated finding in one lab. I
39 mean, in multiple labs showed that it was reproducible, dose related, dose limiting
40 toxicity. A property the vector shares with the native virus. So it kind of fit into a
41 context and I think everybody has said who has committed on the science here that we
42 are having trouble fitting this current finding either in the context of the vector or in
43 the context of MPS VII. I think have some very elegant studies have shown about the
44 models and about over expression of transgenic protein, so we are having trouble
45 fitting this into a context. But I think it is different.

46 So I agree with you. I agree in the sense of the caution but I guess to
47 me this is qualitatively different when one has an isolated finding in a particular
48 system compared to a demonstrably reproducible vector property, and that is what we
49 do not have here yet. So it requires further study certainly.

1 DR. MICKELSON: Certainly.

2 DR. FLOTTE: It is different.

3 DR. MICKELSON: But what happened when the first experiment
4 came forward that showed Ad5 was -- had -- was inflammatory and elicited response?

5 DR. JOHNSON: Everybody said, "I told you so."

6 DR. GARDNER: You know, I am honestly saying that we should go
7 forward but I do remember those days and that with the first time it showed or the
8 second time I do not think everybody said, "Oh, it is totally expected." The people
9 who were doing the trials argued to move forward that it was vector related. A lot of
10 us did not believe that but a lot of times they said that and they said it was not -- it was
11 mode of administration. It was mode of bronchoscopy. It was this. It was that.

12 I do not think that everybody jumped up and said, "This is absolutely
13 expected." Not in those early days.

14 DR. KAY: (Not at microphone.) (Inaudible).

15 DR. GARDNER: I do not think they are exactly comparable. I am
16 saying that should an adverse event happen down line we as a scientific community
17 have got to have anticipated it, have instituted the studies properly to say that we not
18 taking it for granted.

19 DR. GORDON: Can I just make a quick comment on that? The logic
20 being voiced at the table is completely air tight but the problem I have with it is how --
21 what kind of a corner are you going to put yourself in. I can tell you that if a
22 devastating event occurs in somebody who receives AAV, let's say they got HCC, and
23 we decided or you decided or FDA decided no direct hepatic administration, the
24 comment made the next day would be, "Oh, they took --" would not be "Oh, they took
25 adequate precautions." It will be, "Didn't they realize that some of this AAV would
26 get to the liver if they put it in muscle?"

27 There is no way that you are going to be able to defend yourself against
28 that type of Monday morning quarterbacking and I think that is a lesson actually of
29 some of the adenovirus debacles is that you cannot get out of that. So, therefore, I
30 think you should look at the patient and say whether or not you as their physician are
31 making the best judgment for them.

32 DR. SIEGEL: I think you are right. You know, I have been being
33 second guessed for the last 20 years or so. I do not anticipate ever getting out of that.
34 I am not uncomfortable with that but I think that Dr. Gardner is making an important
35 point in drawing that parallel and thinking about its impact on the science because if
36 you treat a patient who is at baseline high risk or even moderate risk for hepatocellular
37 carcinoma, you are creating a risk that that patient will get a hepatocellular carcinoma
38 that will, even if potentially incorrectly, be attributed to the intervention you do and
39 that second guessing will happen.

40 And so that is at least something to think about in terms of thinking
41 about what experiments to plan and how to proceed because, you know, if the patient
42 develops hepatocellular carcinoma, even if it appears that it might well have happened
43 without the intervention, it is likely to have a rather profound impact, not just on that
44 trial, but on the entire field of AAV research and the entire field of gene therapy, and
45 possibly the entire field of clinical research.

46 I think that is the point you were making and that is something that,
47 you know, is not a scientific question but it is a question about how one should best
48 proceed scientifically.

49 DR. _____: I would just like to point out that the indexed event

1 that brought everyone here is an evolution of several tumors very late in the life span
2 of the animal in question. Given all the other caveats about the nature of the
3 experiment that was done.

4 And in the course of human clinical research people with hepatitis C
5 are at high risk over their life span for acquiring hepatocellular carcinoma. For the
6 investigator who wants to do the study involving AAV in the liver, it is okay to say
7 that one needs additional studies but I do not think within the time frame that people
8 can operate that there is a paradigm to really address the question regarding the event
9 in the patients as has transpired in the animals.

10 There are no markers that one can look at in a model which would
11 identify within a matter of weeks that a tumor will materialize in a number of years.
12 So, unfortunately, it is really a binary event. One can do more and more experiments
13 but they are really in the nature of being able to tell somebody later how much you
14 did, not really in the nature of identifying the risk that the patient is subject to.

15 The other side of that test is if you do all these things and the work
16 does not get done, it is conceivable that AAV Factor VIII, Factor IX administration to
17 the liver actually has a salutary effect on the course of hemophilia and that does not
18 happen for many years while one is doing these other things which do not really
19 identify the likelihood of the problem.

20 DR. MICKELSON: There is no one standing.
21 (Slide.)

22 Question three was prior to lifting the clinical hold the FDA required
23 sponsors to modify their consent document to inform patients of the laboratory
24 findings or experiments. From what we have discussed here, what information do
25 participants here think should be included in consent forms? What kind of discussions
26 would you want to have with potential participants?

27 Eric?

28 DR. JUENGST: I think all the same arguments we have been making
29 apply here. I would say that if we are approximating the paradigm then, of course,
30 you want to report these results to the patients in the informed consent form but the
31 further you get away from that paradigm the less need there is to do that.

32 So in most studies I do not think it is a material risk of the study and
33 there is no need to include it.

34 DR. MICKELSON: Okay. So you would not think that you would
35 include some information on this the informed consent and might go ahead with AAV
36 but only in ones that were more closely like the animal?

37 DR. JUENGST: Right.

38 DR. MICKELSON: Okay.

39 Dr. Zeitlin?

40 DR. ZEITLIN: Well, we are already in our past versions of the consent
41 forms discussing theoretical risk, theoretical risk of cancer is in our consent forms, and
42 I think as soon as you have a little more data that is out in the public arena about
43 tumors that we cannot leave it out of the consent form.

44 Our patients are on the internet. They are reading the New York
45 Times. They called us right away as soon as they heard about this through the
46 newspapers. So I think we are obligated to present it in a reasonable fashion so that it
47 does not raise undue anxiety and that is really the problem I have now is how to keep
48 the anxiety level at a reasonable level and not have a lot of fears on the study
49 population.

1 DR. MICKELSON: It is very hard to present experimental data, I
2 think, in terms of the consent forms that I have read and that I do not know whether
3 patients always understand that they are in an experiment. Also it is very difficult to
4 explain to them that you do not happen to know which strain of mouse they are going
5 to be. Are they going to be in the very sensitive end of it or are they going to be the
6 ones -- nevertheless, how do you interpret this data to patients but I think it has to be
7 there. I do not think you could have a clinical trial now with the information out in the
8 public arena without some mention of it to potential participants.

9 The language, though, I think is very difficult to make sure that it is
10 clear but also I do not know if the FDA would be willing to share what they actually
11 asked for in some of the informed -- in the informed consent.

12 DR. WEISS: Actually I was just wondering if I could actually ask
13 maybe somebody from -- who has been participating in the Targeted Genetics Study,
14 they actually provided us a sample of the consent form that we looked at. But we
15 discussed the elements that we thought would be appropriate to mention and then the
16 process was that the company said, "Fine," and they basically made some revisions,
17 faxed them over to us and we looked at that. We, I think, had very minor comments. I
18 did not actually bring a copy with me but I was just wondering if somebody from just
19 the company would be willing to just mention --

20 DR. JOHNSON: Tom, if you could?

21 DR. MICKELSON: Share the language?

22 DR. JOHNSON: No, give -- you can paraphrase it, Tom, which I am
23 sure you can.

24 DR. REYNOLDS: Yes. So I will preface this by saying it was a fairly
25 -- we were put in a kind of difficult position because before the information became
26 public, we had to tell patients that were enrolled in our trial that they were not allowed
27 to receive more product because an unknown study had been done by an unknown
28 investigator with an unknown product with kind of an unknown result that we really
29 could not explain but that they were -- we could not give them any more drug.

30 And that was really not a good situation so the FDA has given us some
31 language now which we did pretty much use just as they gave it, some minor
32 modifications, and it goes something like this: "There was a study done in mice in a
33 disease model of a genetic disorder where newborn animals were given very high
34 doses of an AAV vector. It is not the vector that you have gotten. It does not have
35 CF. It is not designed to be used in the clinic and some of these animals when they
36 got to be a year or older developed tumors in their liver.

37 "Now we do not -- subsequent studies are still ongoing and at this
38 point there is not clear evidence that the vector actually caused the tumors. That being
39 said we need you to be aware of this information. We also need to let you know that
40 first of all that this is not a vector that contains the gene that you have gotten. It is not
41 a vector that was produced to be used in the clinic. It was not given to you by the
42 same route of administration and you are not a mouse."

43 I should also remind folks that, as Pam stated, our original consent
44 form that went out five years ago when we first started this said, "You know, this is
45 the first time AAV has ever been used in a person. We have very limited data about
46 what this might do to you. One of the things it might do to you is to cause cancer."

47 And so in my mind we have been up front with our patients and our
48 investigators have been up front since day one. I think we are kind of stuck with
49 presenting them with the current information because it is public domain and they are

1 going to ask about it and they have already been calling us.

2 An interesting question is it is really not equal billing. We just saw a
3 bunch of data from many investigators with similar constructs in mice that show no
4 evidence of tumors and we have not included that in our consent form.

5 I guess one of the things that would be interesting is it is kind of a done
6 deal where it is now. We have a consent form. They have been approved by IRBs
7 and some IBCs. Patient are being -- have been administered the consent form and are
8 undergoing dosing of study agent as we speak.

9 So I think we are at a place we need to be but I think as more data
10 comes out what would be useful would be to understand what would be the process of
11 incorporating that. For example, if another study is done, let's say replicates this study
12 exactly, and there are no tumors in any animal, can we then take it out of the consent
13 form?

14 Or if it is proven that it is the disease model and not to the best of our
15 knowledge related to vector, can we strike it then? At what point is it germane and at
16 what point does it remain germane?

17 DR. MICKELSON: Dr. Samulski?

18 DR. SAMULSKI: I do not have an answer to the consent form but I
19 have a recommendation. I think the ASGT would serve its community well if they
20 took a summary of this meeting and recommendations and made it available on a
21 website so patients like what Pam has call in can direct them to a layman's version of
22 what was actually determined. Because, as Tom was articulating, by the time you try
23 to describe all this data they are not reading an informed consent, they are reading a
24 documentation of two or three years of experiments.

25 But the community probably could serve the patients as well as the
26 general public by making some type of analysis of what was done here out to the
27 public and let that be an option that is put on the informed consent that you can get
28 more information about the recent data that was described and what happened at the
29 meeting, and direct them to this. I think this would be a valuable -- and I think that
30 society would also benefit by coming forward regardless of what the vector is or what
31 happens.

32 DR. MICKELSON: I think there was something on the OBA web
33 page. A background.

34 DR. PATTERSON: Right. There was some background on the
35 impetus for this meeting and in addition we will be writing up the summary of this
36 meeting as well as the one that was held in December. In fact, I have the draft here of
37 the December meeting. We would confer with FDA that does not preclude ASGT
38 from doing something as well.

39 DR. SAMULSKI: I just think the informed consent should have access
40 to that and put it on there. Here is where you can get specifically more.

41 DR. SIEGEL: But I think you -- in addition to any summary that may
42 be planned, you are specifically proposing a summary that is written at a language
43 level appropriate for a consent document, which is variably stated at an 8th or 6th
44 grade level, not presumably the summary we are currently intending. It is an
45 interesting and thoughtful idea.

46 DR. MICKELSON: You had a comment?

47 DR. DAWES: I think there is a danger in over interpreting any
48 informed consent agreement because I know how things go once you get down to the
49 patients. You know, well, I have got to tell you this because of the lawyers. We had

1 this one case of --

2 DR. MICKELSON: You cannot say that.

3 (Laughter.)

4 DR. SIEGEL: I hope you do not say that.

5 DR. DAWES: No, I mean I have seen things close to that happen. I
6 have been a patient for various things, you know, but there really is no danger. But if I
7 was a patient going in for a procedure I would want to know that there is this data out
8 there, you know. You can say that most -- there is a large body of animal data
9 and clinical trial data which shows no adverse effects, however there is this one
10 unconfirmed report and just sort of leave it at that.

11 DR. JUENGST: I think there is an interesting use of the informed
12 consent process going on here that I want to flag. We usually think of the informed
13 consent process as disclosing the risks of the research that the subject is being enrolled
14 in. In this case we seem to want to use it to disabuse the subject of misinterpretations
15 of information they have heard in the media or on the internet, i.e. as an educational
16 tool to reassure them that some of the things they have heard may not apply to them.

17 Otherwise, the kind of consent information we heard from the example
18 seems to be describing the risks of a study which is not the study that the patient is
19 being invited to participate in. That is not required by any moral precepts that you tell
20 the subject the risks of research that is -- is not the research you are inviting them to
21 enroll in.

22 So if we are going to raise this as a theoretical issue it has to be simply
23 on prudential grounds that, well, they are going to know about it anyway so we better
24 do that for political reasons. Or take the high road, let's do it for educational purposes.
25 They may have heard this in the literature and picked it up and it will be good to just
26 explain to them why the scientific evidence does not suggest that this is a risk of the
27 study.

28 But to leave them with the impression that this is a remote risk of being
29 involved in this kind of study seems inappropriate to me.

30 DR. GORDON: I just thought -- I feel there is a couple of principles
31 that should guide the way the informed consent should read in this regard. First of all,
32 I do think the information should be given because it exists. I do not think this should
33 be referred to necessarily as a study as much as a finding but I think one of the
34 principles that I feel is being lost sight of in this whole discussion is who is in charge
35 in this arrangement between the research study subject and the physician or the
36 scientist.

37 I feel that it is the research study subject who should be in charge. If
38 they choose to be hysterical and withdraw from the study that is their choice and I do
39 not think the informed consent information should be presented to them in any way
40 other than the facts as we know it and I think if they choose that that is not enough for
41 them to participate that is their decision to make. I think that we need to be reminded
42 that it is they who are employing us in a manner of speaking just as much as we are
43 relying upon them.

44 DR. GLADER: I would just like to comment that the hemophilia
45 community at a national level, the National Hemophilia Foundation entirely supports
46 moving ahead with gene therapy studies but their message is go slow, be careful, we
47 trust you.

48 I think that we have to keep an open -- I view this as a partnership and I
49 think we have to keep -- cannot keep things from them. They have got to be part of
50 everything we know because it has to be an open conscious decision that they want to

1 partake in this.

2 DR. MICKELSON: Other comments?

3 Great. Let's move on to the fourth question then. Should protocols be
4 modified to include targeted screening of research participants for early detection of
5 tumors? And, if so, what would you test for?

6 And then what tests would be appropriate and the timing and frequency
7 of such tests?

8 DR. CRAWFORD: And I understand there are no hepatologists here
9 either so a liver pathologist will make a comment. This is a debate that has been going
10 on for my entire professional career and I do not expect it to end and prior to coming
11 here I went to the literature and looked for the latest magic test.

12 And I was quite intrigued to see a summary from December which
13 looked over the entire world's literature and arrived at the same conclusion that has
14 been there for the last 20 years, which is that simple screening, either by ultrasound or
15 by CT scan or MRI plus alpha protein still remains the method of choice.

16 So the argument could be made that in a patient population at clear
17 risk, albeit very low, the hepatitis C population, this could be justified regardless of
18 whether they are treated with vector. But it would be a considerable oversight not to
19 include screening in a population that you are enrolling in a clinical trial.

20 Beyond that it is hard to come up with recommendations beyond what
21 the world's literature currently has, which is interval screening, and I am personally
22 not going to put a time frame down on that but interval screening with tests that are
23 probably best available, albeit it takes a while to detect tumor once it has arisen.

24 DR. MICKELSON: Dr. Rakowsky?

25 DR. RAKOWSKY: I guess just a clinical question. What would be
26 the normal course for a grade 2 HCV patient to begin with? Would you be doing
27 yearly alpha proteins from those patients?

28 DR. CRAWFORD: I am not a hepatologist so I am not the best one to
29 make this recommendation but I would actually counter that by saying what is the
30 clinical course of a nascent hepatocellular carcinoma. And it actually is very slow.
31 On the one hand particularly for a non-cirrhotic patient with hepatitis C, the lead time
32 may be 10 to 20 years or more. And, secondly, even if you have a hepatocellular
33 carcinoma that is not treated, it may grow for five years from a half centimeter up to
34 three, four centimeters before it becomes a lesion that gets into the vasculature and
35 metastasizes.

36 Now clearly there are always exceptions but, in fact, your lead time to
37 detect a tumor, particularly if you are following it by screening, is considerable. And
38 so, therefore, I would argue that implementation of screening in a hepatitis C positive
39 population that is undergoing a controlled clinical trial or even a noncontrolled clinical
40 trial gives you lead time. It is not going to be perfect but you will have substantial
41 lead time.

42 DR. MICKELSON: Other comments?

43 SUMMING UP AND NEXT STEPS

44 DR. JOHNSON: Anybody else have any burning issues before we sort
45 of wrap this up? Please step up.

46 I think that as we look at the agenda we have really finished
47 development of panel conclusions because those are, in essence, the answers to the
48 questions. We stayed pretty focused on those and I think the answers to those
49 questions can be transcribed from the proceedings.

1 I think that the next step for this group is to simply get the working
2 group together to look at the science of this and try to make recommendations relevant
3 for repeating the experiment and moving forward with the science and generating the
4 data.

5 I think the FDA will consider on a daily basis their role in this and it
6 makes a lot of sense for us to move forward with caution but to also take a look at the
7 science and to support Dr. Sands in his role in these experiments.

8 So if there are no other questions, do you want to have any closing
9 comments?

10 DR. MICKELSON: Well, we will be putting the summaries on the
11 web so I think that is something that is part of the conclusions.

12 DR. JOHNSON: Right. I think that there will definitely be a transcript
13 as Amy said. We will definitely be in touch with a smaller group for the working
14 group.

15 If there are no other questions, we are adjourned.

16 DR. MICKELSON: I would like to thank all the speakers and
17 participants. It was a very useful day.

18 (Whereupon, at 5:19 p.m., the proceedings were adjourned.)

19 * * * * *